

# TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

CENTER FOR DEVICES AND RADIOLOGICAL HEALTH

TECHNICAL ELECTRONIC PRODUCT RADIATION

SAFETY STANDARDS COMMITTEE

TWENTY-FIFTH MEETING

VOLUME I

2173  
93  
101-2  
11/24

*This transcript has not  
been edited and FDA  
makes no representation  
regarding its accuracy.*

Pages 1 Thru 150

Gaithersburg, Maryland  
September 24, 1998

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.  
Washington, D.C. 20002  
(202) 546-6666

at

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DEVICES AND RADIOLOGICAL HEALTH

TECHNICAL ELECTRONIC PRODUCT RADIATION  
SAFETY STANDARDS COMMITTEE  
TWENTY-FIFTH MEETING

Volume I

Thursday, September 24, 1998

8:30 a.m.

Gaithersburg Hilton  
260 Perry Parkway  
Gaithersburg, Maryland

at

PARTICIPANTS

Roland Fletcher, Chairman  
Orhan Suleiman, Ph.D., Executive Secretary

MEMBERS

John Cardella, M.D.  
Joe A. Elder, M.D.  
Jane Ehrgott  
Kathleen Kaufman, B.S.  
Jill Lipoti, Ph.D.  
Marlene McKetty, Ph.D.  
Mary V. Marx, M.D.  
Stanley Savic  
Stephen Szeglin, M.S.  
Jerry Thomas  
Robert Turocy  
Dennis Wilson

FDA

Elizabeth D. Jacobson, Ph.D.  
Richard V. Kaczmarek

at

3

C O N T E N T S

Chairperson's Opening Remarks: Roland Fletcher 4

**Electronic Article Surveillance Systems**

FDA Introduction to the Issue

Elizabeth D. Jacobson, Ph.D. 4  
Jon Casamento 12  
Stuart Portnoy, M.D. 19  
Mitchell Shein 28  
Elizabeth D. Jacobson, Ph.D. 37

Medical Device Industry Perspective

Jim Putzke 46

EAS Industry Perspective

Rudolph Klein 74  
Dr. Geraint Davies 75  
Olin Giles 86  
Dave Shoemaker 96

Metal Detector Industry Perspective

Robert Podhrasky 106

Open Public Hearing

Michael E. McIvor, M.D. 118  
Warren Harthorne, M.D. 135  
Dougals Zipes, M.D.  
Victor Parsonnet, M.D.

Committee Discussion

**Medical Telemetry Systems**

Don Witters 205

P R O C E E D I N G S

**Chairperson's Opening Remarks**

MR. FLETCHER: Good morning. Welcome to the second session of the 25th meeting of TEPRSSC. We have a very, very busy agenda today and we will do all that we can to adhere to the times that are posted.

I would like to take a moment on behalf of the committee to express our appreciation to Dr. Orhan Suleiman, Dr. Rick Kaczmarek, Dr. Jacobson and all of those who have worked so diligently to put this agenda together and to coordinate all of the things that are needed to be done to insure that this meeting was held and has been a success. So we do thank you very much for all of the work that you are doing.

At this point, I would like to begin the agenda so that we may gain a little bit of time. It would, perhaps, be to our advantage to go ahead and get into the initial presentation even though we are, perhaps, running a little ahead of schedule.

Dr. Jacobson, would you like to open up.

**Electronic Article Surveillance Systems**

**FDA Introduction**

DR. JACOBSON: Good morning. I would like to personally thank the committee for the careful attention that you have paid to such a wide variety of topics these

1 couple of days.

2 [Slide.]

3 Today, you are going to hear about a different  
4 kind of security device from the one you heard yesterday.  
5 Today's systems don't use ionizing radiation but, rather,  
6 they use the non-ionizing part of the electromagnetic  
7 spectrum from ELF up through the microwaves.

8 EAS systems are sometimes called anti-theft  
9 systems and they provide the security that you see in retail  
10 stores and libraries, in supermarkets, the pillars that you  
11 so often see and if you take an item through that you  
12 shouldn't, an alarm goes off. You will hear more about them  
13 in specific from the manufacturers today.

14 Metal detectors, of course, are used to scan for  
15 metal weapons in a variety of places--airports, government  
16 buildings, schools, prisons. The reason for today's session  
17 is that both of these types of systems have the potential  
18 for interfering with the normal operation of certain kinds  
19 of implanted medical devices; for example, pacemakers,  
20 implantable defibrillators, and neurostimulators.

21 You are all familiar with the phenomenon of  
22 interference. If, like me, you tend to keep your radio too  
23 close to your microwave oven, or if you sit on the plane and  
24 get nervous when the pilot says, "Please turn off all your  
25 cellular telephones," and you are hoping that the guy next

1 to you really turned his off.

2           You will hear a lot more about the individual EAS  
3 systems and metal detectors later on today, so I am not  
4 going to go into them. We will also be talking a lot about  
5 electromagnetic compatibility. Obviously, that is the  
6 opposite of electromagnetic interference.

7           The goals for products are to be compatible and to  
8 function normally even when they are used near each other.

9           [Slide.]

10           To put today's discussion in perspective, though,  
11 let me say, up front, that we do not think that we have a  
12 major public-health problem at this time. But we would like  
13 it to stay that way, especially since both the medical-  
14 device industry and the EAS and metal-detector industries  
15 provide products that are really important for the lives of  
16 the American public.

17           There are some trends in each of these industries  
18 that point to increasing potentials for interactions of the  
19 electromagnetic fields that are produced by the security  
20 systems with the electronics of medical devices. We want to  
21 be sure that we know that we understand that and that we are  
22 allowing for it.

23           The trends in the device industry are fairly  
24 obvious. There is more and more use of implantables using  
25 more and more sophisticated microcircuitry. And there is

1 more and more use of EAS systems in metal detectors for  
2 security purposes. Some of these are being hidden in  
3 architectural features of the store so that they are not so  
4 obvious, for example.

5 This makes the store entrance looks nicer and a  
6 little more discrete but it also means that people don't  
7 know they are there.

8 [Slide.]

9 Electromagnetic compatibility issues are not new.  
10 They have really been around as long as people have been  
11 playing around with electromagnetic radiation. Over the  
12 past ten years or so, we have seen--I have just listed a few  
13 examples; apnea monitors interfered with by FM signals,  
14 wheelchairs misbehaving around mobile radio sources like  
15 those in ambulances and police cars.

16 Pacers have had a couple of incidences of  
17 interference, once in the '60's where they were sensitive to  
18 microwave ovens and then, in the '90's with interactions to  
19 the new digital cellular telephones.

20 In each of these cases--I am not going to mention  
21 the last one right now because you have a separate session  
22 on that this afternoon, but in each of these cases we use  
23 different approaches to solve the problem. An apnea monitor  
24 that was extremely sensitive to interference was recalled by  
25 the manufacturer.



1 With motorized wheelchairs, we notified patients  
2 and their physicians. We did a fair amount of in-house lab  
3 work and then we used that lab work in support of a  
4 voluntary-standards activity.

5 The early pacemaker problem with microwave ovens was  
6 quickly resolved with changes in both the pacemaker design and  
7 the oven design. In the '90's, the cell-phone industry  
8 sponsored several large clinical studies to get a handle on  
9 the types of interactions that were happening and were able  
10 to demonstrate what was happening and what to do about it.

11 The bottom line, I think, to this is that  
12 electromagnetic compatibility issues are fundamentally  
13 engineering problems. They have the added complication that  
14 we are talking about engineering issues that cross  
15 industries that may not necessarily be talking to one  
16 another all the time.

17 Often the approach to solving these kinds of  
18 problems has to be case-by-case based on the type of device,  
19 the type of environment it is going to be used in. And it  
20 requires consideration of design both by the product  
21 emitting the radiation in question and the product that is  
22 seeing the radiation and receiving it.

23 [Slide.]

24 Given the array of devices that can be interfered  
25 with is so broad and that the number of potential

1 interfering sources is so large, given the gadget-happy  
2 culture that we are in, we, at FDA, have taken a pretty  
3 active educational approach in approaching the whole issue.

4 In 1995, we sponsored a conference with the  
5 Association for the Advancement of Medical Instrumentation.  
6 We had a lot of interest in that conference and lots of  
7 cosponsors; HIMA, the American Medical Association, U.S.  
8 Pharmacopoeia, ANSI, a number of cosponsors. Our approach  
9 there was to lay out the problems as we see them and to try  
10 to facilitate discussions across groups that don't  
11 ordinarily deal with each other but who should.

12 We have had a couple of follow-up conferences  
13 since then. I think the bottom line, as it is on this  
14 slide, is to share information and ideas. That is sometimes  
15 quite difficult when companies, of necessity, feel they need  
16 to protect their proprietary interests in their products and  
17 they don't want to talk a lot about design and design  
18 considerations.

19 [Slide.]

20 Our concern about interference with medical  
21 devices from EAS and metal detectors stems from three lines  
22 of evidence. The first one is that we have a small number  
23 of reports--and we are going to be talking to you about each  
24 one of these lines of evidence this morning--but we have a  
25 small number of reports that have been made to us in our

1 medical-device reporting system, 46 reports in all, of which  
2 44 were related to the three specific devices I talked about  
3 earlier; pacers, implantable defibrillators and  
4 neurostimulators, and the patients experienced interference  
5 with the proper functioning of their device.

6           There is also a small literature of clinical  
7 studies that show the same kinds of interactions, the so-  
8 called in vivo studies, and we have some in vitro laboratory  
9 work that demonstrates that you can see these same kinds of  
10 interactions in the laboratory. Again, as I said, you will  
11 hear more about these in a second.

12           [Slide.]

13           Last October, given this kind of information, we  
14 sent a letter to all of the EAS and metal detector  
15 manufacturers and asked them what they knew about  
16 interferences with medical devices and we learned that the  
17 companies, not surprisingly, varied in terms of how much  
18 attention they had been paying to the issue.

19           Several EAS manufacturers have actually been doing  
20 testing of their system with devices and the metal detector  
21 manufacturers have been involved in an effort to develop  
22 test methods by working through the American Society for  
23 Testing and Materials, so there has been some attention  
24 being paid.

25           The EAS firms knew of some instances of EMI, of

1 interference. The metal detector firms did not report any  
2 additional incidence. Most manufacturers are not including  
3 anything in their materials or their literature about this  
4 issue.

5 In the interest of making today's discussion as  
6 productive as possible for everybody, we did meet with all  
7 of the manufacturers. There was an invitation to meet with  
8 us before the TEPRSSC session so that we could sort of walk  
9 them through what we were going to say and what our concerns  
10 were.

11 [Slide.]

12 What we would like to do today for the committee  
13 is to lead off the discussion with sort of a broad-brush  
14 view of what we know from our lab and other lab data. Jon  
15 Casamento will present that. Then we will describe the  
16 adverse-event reports we have received. Stu Portnoy will be  
17 doing that for you. And then we will review the studies  
18 that have been done in vivo with patients. Mitch Shein will  
19 do that.

20 I will then wrap up our section with a number of  
21 suggestions we have of what actions might be appropriate in  
22 this area and then each of the industries will present their  
23 perspective. HIMA, the Health Industry Manufacturers  
24 Association, will represent the device industry, the  
25 International EAS Manufacturers Association and the two

1 largest EAS companies will speak for that industry.

2 The Chair of ASTM's Consensus Standard Group will  
3 give the metal detector perspective since there is no trade  
4 association for that industry.

5 After time for public comment, we will then look  
6 to your general discussion and get your general input and  
7 advice on the suggestions that we have for moving forward.

8 Thank you.

9 MR. FLETCHER: Thank you very much.

10 MR. CASAMENTO: Good morning.

11 [Slide.]

12 My name is Jon Casamento. I am a researcher in  
13 the CDRH laboratories. I have been looking at the technical  
14 aspect of the magnetic fields emitted from electronic  
15 article surveillance systems. I took a look at the in vitro  
16 studies that have been published to date.

17 [Slide.]

18 We will review the magnetic-field measurements  
19 that I have made, discuss results and I will show you a  
20 brief summary of the current in vitro studies.

21 [Slide.]

22 One thing to do is to describe an EAS system,  
23 electronic article surveillance system, for those of you  
24 that are not that familiar. They consist of one or more  
25 pylons that you see around a store or entrance or exit. One

1 or more of those pylons may be a transmitter. There are  
2 designs where both pylons actually transmit.

3 There may be systems where a single pylon is both  
4 the transmitter and receiver. The separation distances  
5 between the pylons varies with the technologies  
6 incorporated.

7 [Slide.]

8 We collected eight electronic article surveillance  
9 systems comprising three of the four general areas used in  
10 the electronic article surveillance technologies. The very  
11 low-frequency systems, which I am using the NTI definition  
12 that I found for VLF, is below 30 kilohertz, there were two  
13 systems we looked at low. They are primarily, although they  
14 are not always, CW or some sort of intermixed modulation  
15 fields.

16 The second technology was the pulse-magnetic  
17 technology. They live somewhere between 30 kilohertz to 300  
18 kilohertz. Many of those are pulsed magnetic technology.  
19 Then we looked at radiofrequency systems which operate from  
20 medium frequency to high-frequency RF spectrum from  
21 300 kilohertz to 30 megahertz.

22 The microwave systems we did not look at because  
23 we don't have any reports recently of interactions with  
24 those systems.

25 Measurements were made with electric-field

1 shielded single-axis coils for the VLF systems and we used  
2 commercial isotropic magnetic-field survey instruments for  
3 the other systems.

4 [Slide.]

5 I mapped the fields using three orthogonal planes  
6 in order to characterize the field-strength distribution  
7 from the electronic article surveillance systems.

8 [Slide.]

9 As an example of what some of these maps looked  
10 like, the magnetic-field maps, this is a field map using  
11 protocol A in which it is a vertical plane normal to the  
12 face of the electronic article surveillance system. The  
13 saddleback that you see in this slide indicates that we have  
14 two transmitters here.

15 I want you to note the relatively high field  
16 strength in the center of the saddleback which someone  
17 passing through the center of the gate would experience.  
18 These are peak measurements. The data you see is not  
19 smoothed. It is raw data as I collected it.

20 [Slide.]

21 Here is another picture of the same unit with two  
22 transmitters. You can see it is taken in the horizontal  
23 plane as one would encounter the plane as one moves through  
24 the electronic article surveillance system. Of course, the  
25 higher fields are as you get closer to the transmitters

1 themselves. You will note the relatively high field  
2 strength in the center of this particular design. This is  
3 the very low-frequency system.

4 [Slide.]

5 One of the scans I took was very close, as close  
6 as my probes would let me, about 6 centimeters from the face  
7 of the electronic article surveillance system. In pictures  
8 like that, you can actually see some of the structure of how  
9 the system functions.

10 [Slide.]

11 The low-frequency pulse-magnetic system. Here, it  
12 was taken in another horizontal view as you would encounter  
13 the field as you would walk through it. With a single-  
14 transmitter system, the fields, although they are very  
15 strong, also fall off very rapidly with distance. That is  
16 something to be noted with this system.

17 [Slide.]

18 This is a summary of the measurements that I made  
19 for stability sake of data. I list the field strengths I  
20 made at 34 centimeters from the transmitter pylon. That is  
21 the last column. In the center, I describe the sorts of  
22 modulation that you will encounter with the various systems.

23 You will note, if you look at the VLF systems on  
24 the right-hand column, that the VLF system fields tend to be  
25 fairly high. The pulse magnetic systems are a little lower



1 or about the same range, and the swept RF field strengths  
2 are less.

3 [Slide.]

4 I plotted this on a semi-log graph here to compare  
5 the various technologies. The very low frequency here shows  
6 as being stronger. The point where the line levels out  
7 there indicates that we have two transmitters in that data.  
8 The other systems all have a single transmitter and you can  
9 tell by more or less the constant slope of the line.

10 But the VLF and low-frequency systems have  
11 considerably higher field than some of the other  
12 technologies.

13 [Slide.]

14 I looked at the peak-induced voltages and unloaded  
15 a 200 cm<sup>2</sup> loop. That is within the range that a pacemaker  
16 loop would be within the body. I have seen data ranging  
17 from 45 cm<sup>2</sup> to better than 300 cm<sup>2</sup>. I chose 200 cm<sup>2</sup> since  
18 that has been used in some of the literature and compared--  
19 this is to look at the degree of threat that a device would  
20 encounter.

21 You can see that the low-frequency pulse-magnetic  
22 systems provide a higher degree of threat here. The RF  
23 systems, surprisingly enough, come in second. And then the  
24 VLF lower. For comparison's sake, I have a sensing  
25 threshold marked in the bottom of the graph. That is the

1 most sensitive that a pacemaker can be set although some of  
2 the literature suggests that that line can be drawn more  
3 nominally around 3 millivolts which would be up in the next  
4 scale up from the 0.01. Up above the top of the word  
5 "sensing threshold," would be more or less in the nominal  
6 range for a pacemaker setting.

7 But the field strengths, apparently, from this  
8 with an unloaded coil under ideal circumstances would  
9 provide interference within that range as well.

10 [Slide.]

11 I am going to look at the in vitro studies for EAS  
12 interactions. We went through the published in vitro  
13 studies to date. I will show you those studies in a minute  
14 that we looked at. This shows the number of interactions  
15 for devices studied versus the technologies used.

16 [Slide.]

17 These were the studies that we used to collect the  
18 data for the summary. I think device immunity is related to  
19 the amount of energy that the system, electronic system, is  
20 presented with and then its ability to resist that energy  
21 through whatever means the manufacturer designs into the  
22 device, be it, in this case, it may be some sort of  
23 filtration system or other electronic means to counter any  
24 interactions.

25 That concludes my remarks. Any questions?

1 MR. FLETCHER: Thank you very much.

2 MS. KAUFMAN: Is he taking questions now?

3 MR. FLETCHER: I will take one or two, but I want  
4 to limit the questions as much as possible.

5 MS. KAUFMAN: If you could go back to slide 11 and  
6 you notice the most sensitive setting, the sensing  
7 threshold, that was the most sensitive setting for a  
8 pacemaker, where are they normally set? Could you show us  
9 on that graph where they are normally set?

10 [Slide.]

11 MR. CASAMENTO: They are set at 3 millivolts,  
12 right about where the pencil is.

13 MS. KAUFMAN: Thank you.

14 MR. CASAMENTO: Any other questions?

15 DR. CARDELLA: The sensing threshold that you are  
16 talking about is the pacemaker's ability to sense electrical  
17 impulses from the heart, internal, or are those sensing  
18 thresholds from external, when they try to reset the  
19 pacemaker from the outside?

20 MR. CASAMENTO: Oh; those are internal to the  
21 heart, signal levels that the pacemaker is designed to  
22 respond to because it is monitoring the cardiac activity and  
23 I am sure there are some physicians who are going to talk in  
24 the public session that will describe that in very good  
25 detail.

1 MR. FLETCHER: Thank you.

2 Let me just say to the panel, the only reason that  
3 I am limiting questions at this time is these presentations  
4 are tied together and we will have periods of time where we  
5 can address questions. Perhaps, one presentation might  
6 answer a question that you may already have, and we have a  
7 full schedule.

8 DR. PORTNOY: Hi. My name is Stuart Portnoy. I  
9 am a physician at the Food and Drug Administration where I  
10 review manufacturer's marketing applications for cardiac  
11 devices including pacemakers.

12 [Slide.]

13 In this prescription, I am going to explain to you  
14 how I assessed and classified the adverse-event data  
15 reported to the FDA concerning interactions between security  
16 systems, including metal detectors and anti-theft systems,  
17 with medical devices, such as pacemakers, implantable  
18 cardioverter defibrillators and spinal-cord stimulators.

19 A copy of this presentation was provided to the  
20 TEPRSSC panel members in your panel pack labeled MDR  
21 Analysis, tab K. Please note that my presentation today  
22 reflects an update of the MDR database which now includes  
23 46 reported adverse interactions as opposed to the 30 MDRs  
24 summarized in your panel pack.

25 [Slide.]

1           The medical device reporting system, or MDR, is a  
2 database of reports to the FDA of medical device adverse  
3 events. During the past ten years, there have been 46  
4 adverse events reported to the FDA of interactions between  
5 security systems and medical devices.

6           To better understand the nature and severity of  
7 these adverse events, I recently reviewed each MDR report  
8 assessing the level of severity of the interaction according  
9 to the criteria shown in this overhead slide. These  
10 criteria are a modified version of the parameters used by  
11 the FDA to assess MDR reports for medical device adverse  
12 events reported by physicians and hospitals.

13           Severe interactions included those that were fatal  
14 or life-threatening, resulted in permanent or significant  
15 impairment, required surgical intervention or required  
16 patient hospitalization. Moderate adverse events were those  
17 that resulted in patient discomfort but no significant  
18 impairment or interactions in which the medical device was  
19 reprogrammed, for example, if an implantable cardioverter  
20 defibrillator, or ICD, was reprogrammed to the inactive  
21 mode.

22           Under these circumstances, if the patient had a  
23 life-threatening arrhythmia, the ICD would not have been  
24 able to deliver appropriate therapy.

25           A mild adverse event was one which resulted in a

1 detectable device interaction except that the patient didn't  
2 actually feel any symptoms, for example, if a patient's  
3 pacemaker went into the stat VVI pacing mode. Under these  
4 circumstances, the patient would not have recognized that  
5 this was happening. However, the patient's physician,  
6 during pacemaker follow up, would detect a reversion to the  
7 stat VVI mode during routine interrogation of the patient's  
8 pacemaker.

9           Finally, I also assessed the credibility of each  
10 MDR report. If a patient's physician detected that the  
11 patient's ICD was reprogrammed, for example, but the patient  
12 only remembered going through an anti-theft system one month  
13 prior to his or her visit with the doctor, then that report  
14 was considered less credible than, for example, a patient  
15 reporting having actually experienced physician symptoms  
16 while going through an anti-theft system.

17           So what I did with these reports, if there was a  
18 questionable credibility, I lowered them one level of  
19 severity.

20           [Slide.]

21           This is the most important slide of my  
22 presentation. This table shows all 46 MDR reports. The  
23 adverse interactions are arranged in columns from left to  
24 right in order--excuse me; from right to left--in order of  
25 increasing number of occurrences. For example, there were

1 more MDRs reported for pacemakers than there were for spinal  
2 stimulators or ICDs.

3           The security and anti-theft systems are shown in  
4 the left-most column. They are loosely arranged from the  
5 bottom to the top in order of increasing level of severity  
6 and they are arranged in groups according to which device  
7 you see in each column.

8           It is important to note that many of the MDR  
9 reports did not include a great level of detail. For  
10 example, some MDRs stated that a security system was  
11 involved but it did not specify whether it was a metal  
12 detector or an anti-theft systems.

13           Therefore, this table represents a summary of the  
14 MDR adverse events only to the level of detail as reflected  
15 in the MDR reports. No other sources of information were  
16 used to further assess these adverse interactions.

17           The data in this table suggest several findings.  
18 First, most of the MDR reports reported interactions  
19 involving pacemakers, spinal stimulators and ICDs. There  
20 was only one reported MDR for an interaction with a hearing  
21 aid and another for an IV-infusion pump.

22           Second, two-thirds of the severe adverse  
23 interactions occurred between metal detectors and medical  
24 devices, most of them pacemakers. In addition, most of the  
25 ICD interactions occurred with metal detectors.

1           The MDR data for spinal stimulators suggest a  
2 different mechanism of interaction as compared to cardiac  
3 devices. All of these spinal stimulators were implanted for  
4 the relief of chronic pain. Most of the MDR reports simply  
5 describe the adverse interaction as "overstimulation." Many  
6 of these interactions were very painful and patients even  
7 reported being jolted or thrown to the floor.

8           Because all of these reports simply specified that  
9 a security system was involved, it is not clear whether  
10 these interactions were with metal detectors or anti-theft  
11 systems.

12           The proposed mechanism of interaction with spinal  
13 stimulators is the induction of electrical current into the  
14 patient's lead system resulting in the sensation of pain.  
15 It is possible that patients with spinal stimulators are  
16 more susceptible to interactions with security systems than  
17 patients with cardiac implants because spinal stimulators  
18 usually use longer electrodes which may actually act as  
19 antennas that pick up the strong electromagnetic field  
20 generated by some security systems.

21           In addition, spinal-stimulator electrodes are  
22 wired directly into the patient's nervous system potentially  
23 lowering the physiological threshold for adverse  
24 interactions.

25           It is important to recognize that the MDR data



1 summarized in this table is qualitative and not  
2 quantitative. For example, the FDA believes that there may  
3 be significant underreporting of adverse interactions  
4 between security systems and medical devices. In addition,  
5 this table summarizes MDR data grouped by the number of  
6 reports of each type of medical device but not the incidence  
7 of interactions.

8           Since there are approximately 1 million pacemaker  
9 patients and far fewer ICD and spinal-stimulator patients,  
10 it is difficult to draw firm conclusions regarding the  
11 actual incidence of adverse interactions.

12           In conclusion, the MDR reports summarized in this  
13 table can best be used to provide us with some information  
14 about the frequency and severity of interactions between  
15 medical devices and security systems which can help us in  
16 estimating the clinical significance of these adverse  
17 interactions.

18           Before I turn over the microphone to Mitchell  
19 Shein, who is going to summarize several studies reported in  
20 the medical literature regarding these interactions, I will  
21 try to answer any questions regarding my presentation as  
22 time allows.

23           MR. FLETCHER: Are there any questions at this  
24 time?

25           MR. TUROCY: The MDR reports you indicated were

1 filed by physicians in hospitals?

2 DR. PORTNOY: That's correct.

3 MR. TUROCY: What feedback have you received from  
4 the manufacturer of those medical devices on their failure  
5 investigation?

6 DR. PORTNOY: We have met with the EAS  
7 manufacturers and we have also met with HIMA which is the  
8 trade association for the pacemaker and defibrillator spinal  
9 industry. Many of these manufacturers are aware of these  
10 MDR reports. Some of them have performed their own  
11 investigations. As you will hear later on, many of these  
12 manufacturers have also performed their own in vitro and in  
13 vivo studies.

14 So they are, as well, trying to understand better  
15 the nature of these interactions. But we haven't had much  
16 direct contact to discuss the MDR reports, themselves.  
17 Basically, once they are received by the FDA, then we use  
18 those as a kind of independent source of information.

19 MR. TUROCY: Thank you.

20 DR. CARDELLA: As a follow up to that question;  
21 these 46 events are physician or hospital reported and,  
22 basically, you are saying you have zero industrial reported  
23 events. Is that fair?

24 DR. PORTNOY: There is a formal process for filing  
25 an MDR report. Once that is done, the manufacturer does not

1 have any responsibility to the FDA to provide further  
2 information. The information is collected by the hospital,  
3 by the physician and there is a formal process how that is  
4 done.

5 I am not sure of what the responsibility is of the  
6 manufacturer at that point. I think, really, they have no  
7 responsibility at that point.

8 DR. LIPOTI: You mentioned that there is probably  
9 a significant underreporting of incidents. Can you give us  
10 any indication of how much underreporting? I know it is  
11 like the preacher asking the folks who are here why they are  
12 here, but we really are interested especially because there  
13 was one severe reaction with the infusion pump and no other  
14 reported cases. It puzzled me why there would only be one  
15 reported incident.

16 DR. PORTNOY: You know, your guess is as good as  
17 mine. What I believe is that probably the likelihood of  
18 that type of interaction is very, very low. If you look at  
19 this table and you see there are a lot of pacemakers, a lot  
20 of spinal stimulators, defibrillators, so I think that it is  
21 possible that that device is much more immune to this type  
22 of electromagnetic interaction. That would be my guess.

23 DR. JACOBSON: I would just like to add, too, we  
24 have to make sure that we understand which manufacturing  
25 community we are talking about because the security-system

1 manufacturers would have not have an obligation to come in  
2 and report that.

3           It is not always clear that everybody knows that  
4 it is happening or that they attribute it to the right  
5 thing. So medical-device manufacturers may not hear about  
6 it. Physicians may see something but not realize what it is  
7 or what the cause is and, therefore, not report it.

8           So it is difficult to do much more with this kind  
9 of information than just say, okay; this seems to be an  
10 early warning system, a flag, that we need to look at this  
11 issue a little bit further.

12           MR. SAVIC: I would imagine there are a certain  
13 number of cases of reported activities such as this even in  
14 the absence of some of these security devices. What type of  
15 validation did you undertake to verify that, indeed, these  
16 were caused by the particular surveillance?

17           DR. PORTNOY: That is an excellent question. We  
18 don't have a way to validate the credibility of these  
19 reports beyond the text that is provided. In other words,  
20 in your packet, there was a table. What was in there,  
21 showing you of the MDR reports, is that is all the  
22 information that we have describing at adverse events.

23           Here is an example. This is the first page of 46  
24 adverse events. You can see the adverse-event column. That  
25 is a summary. In some cases, it is exactly the text that

1 was included in the MDR report. I tried to edit this as  
2 little as possible for your purposes.

3 So if a patient says that they felt whatever the  
4 symptoms were while they were in the AS gate, then we have  
5 to trust, at that point, that that is something related to  
6 the presence of the AS or of the metal detector and it is  
7 not something that the patient might otherwise experience.

8 As you point out, some pacemakers don't always  
9 work properly. Some of them eventually have to be recalled.  
10 So there are adverse events that we know about. There are  
11 hundreds of adverse events that are reported each year for  
12 these devices that have nothing to do with the AS systems.

13 But when we see that the patient is in the gate  
14 and they are detecting something unusual at that moment,  
15 then we can't rule out, at that point, that the AS system  
16 was involved and it suggests highly that, in fact, that was  
17 what caused the interaction. That is how we are looking at  
18 this data.

19 MR. FLETCHER: Thank you very much.

20 MR. SHEIN: Good morning. I am Mitchell Shein. I  
21 am the Center senior pacemaker reviewer. This morning, I am  
22 going to be presenting a brief overview of the in vivo  
23 literature studies regarding interaction of medical devices  
24 in EAS and metal-detector systems.

25 Before I get to that, I would like to address Mrs.

1 Lipoti's question regarding underreporting. In 1990, SMDA  
2 was passed and they had a requirement for postmarket  
3 surveillance. I worked with the studies with pacemakers.  
4 The requirement for those studies was recently alleviated by  
5 FDA in the last year, but the initial reports of those  
6 studies suggest that the number of reports coming back to  
7 the company might be underreported at rates of 40 to  
8 50 percent, actually.

9 Now, this is going to be dependent upon the  
10 tracking systems that the individual company uses. I can't  
11 say that that is industrywide. Those are a couple of the  
12 reports that we saw. None of those were conclusive, but  
13 there is a fair amount of underreporting.

14 With that, I would like to have the first slide.

15 [Slide.]

16 Forgetting the literature, itself, I would like to  
17 talk for a minute about pacemaker response to  
18 electromagnetic interference. The way pacemakers are  
19 designed these days, they might have any one of the  
20 responses to an electromagnetic field that they enter.

21 They might oversense the field and that might  
22 result in the inhibition of the delivery of the pacing  
23 stimuli. They could, also, if they are in a dual-chamber  
24 device, if you have atrial oversensing, you might get  
25 ventricular pacing at the frequency of the interference or

1 atrial-track pacing.

2           You might also get, if the device is so designed,  
3 a reversion to an asynchronous mode which devices are often  
4 referred to as a noise-reversion mode. This is a design  
5 mode that the devices lapse into in the presence of a field  
6 with the intent of putting the patient in a safe pacing mode  
7 until they move out of the assaulting field.

8           The clinical significance of any of these is  
9 dependent on the individual. The inhibition of the delivery  
10 of therapy for a pacemaker-dependent patient, of course, can  
11 be quite problematic. Atrial-track pacing at high rates  
12 might be not well tolerated for some patients.

13           Asynchronous pacing, itself, while done frequently  
14 for things such as transtelephonic monitoring, carries a  
15 small risk of pace-on T phenomena and could be  
16 proarrhythmic. It could result in ventricular fibrillation.  
17 The odds of that happening are very small, but there is a  
18 discrete possibility.

19           It also bears noting that there is bench testing  
20 that we have seen in house that is characterizational  
21 testing that shows pacemakers goes through a transition zone  
22 as they move into the field between their normal behavior  
23 and their noise-reversion mode. During that period of time,  
24 there may be random pacing and there may be high-rate  
25 pacing. It is relatively not well characterizable.

1           Additionally, there is the possibility, as Dr.  
2 Portnoy suggested, that the pacing leads or neurostimulator  
3 leads, themselves, could act as antenna and when they enter  
4 these fields, there could be a current induced. The effect  
5 of that current is going to depend on its magnitude and, if  
6 it is of sufficient magnitude, it may result in a response  
7 by the end organ.

8           As Dr. Portnoy suggested, it could be pain in  
9 patients who have neurostimulators. For pacing patients,  
10 that could result in a cardiac cycle. But with stimulation  
11 thresholds on the order of a volt in cardiac pacers for  
12 pacing patients, we expect it would be far less likely to  
13 occur.

14           [Slide.]

15           As can be seen, the literature is not terribly  
16 rich with a number of studies in this area. This list might  
17 not be exhaustive. However, we don't believe there are many  
18 other reports in the peer-reviewed literature.

19           I would like to take a moment, now, to work  
20 through these chronologically.

21           [Slide.]

22           In 1988, Copperman, et al., evaluated 103  
23 pacemaker patients presenting for follow up. These patients  
24 were attached to ECG monitors and asked to pass through a  
25 single metal-detector gate in both directions at least three



1 times. Copperman reported no incidence of interaction with  
2 any of these systems.

3 [Slide.]

4 In January, 1993, Dodinot, et al., reported on 32  
5 patients who were exposed to three simulated types of EAS  
6 technologies including radiofrequency, a continuous signal  
7 at 2 to 10 megahertz, a post-electrode magnetic field at 132  
8 kilohertz field modulated at 15 hertz and two magnetic  
9 fields, one at 300 and one at 10,000 kilohertz.

10 No interaction was reported for the RF or pulse  
11 technologies. However, for the magnetic technology, seven  
12 of the 32 patients responded in the 10 kilohertz field, six  
13 of 32 in the 300 hertz field. Dodinot also reported on an  
14 instance acceleration in the 300 hertz field but  
15 characterization of this patient's particular response was  
16 not provided beyond that.

17 Finally, this paper does not specify how patients  
18 were exposed but makes brief mention that inhibitions  
19 occurred when patients stood in regions of relatively low as  
20 well as high intensity and that pacing resumes as soon as  
21 the patient leaves the field or the field is turned off.

22 [Slide.]

23 In 1997, in the French journal Simucouer and,  
24 subsequently, at last year's annual meeting of the North  
25 American Society of Pacing and Electrophysiology in San

1 Diego, Mugica, et al., reported on 178 patients exposed to  
2 two Sensormatic systems, the Ultramax, which is an  
3 acoustomagnetic system using a 58 kilohertz field which Mr.  
4 Casamento previously referred to as an LF-pulse magnetic  
5 system, and the Sensormatic AisleKeeper which uses a non-  
6 modulated 73 hertz field which he previously referred to as  
7 a very low frequency system.

8           As shown, a total of 29 interactions occurred, 17  
9 in the Ultramax, 10 in the AisleKeeper, two in both. Among  
10 the responses included were three instances of atrial  
11 oversensing resulting in maximum ventricular-rate pacing,  
12 one patient who responded with what was described as the DDD  
13 rapid-stimulation mode, although I am not familiar with what  
14 the specifics were.

15           There were also three patients classified as  
16 "other," whose ECGs were too difficult to troubleshoot and  
17 figure out precisely what happened.

18           [Slide.]

19           In the most recent issue of PACE, Wilke, et al,  
20 reported on 53 patients who were asked to walk through four  
21 systems of unspecified technologies operating at different  
22 field strengths. They included two security systems, an  
23 anti-theft device and an electromagnetic access device.

24           Seven pacemaker dysfunctions, all with unipolar  
25 sensing configurations, were observed with the higher

1 powered security system, five inhibitions and two cases of  
2 ventricular pacing secondary to atrial oversensing. Only  
3 two inhibitions were seen in the security system with lower  
4 field strength. No anomalous behavior was observed in  
5 either a anti-theft or electromagnetic-access system or with  
6 any system in a bipolar configuration.

7 [Slide.]

8 In an article which is currently in press for  
9 publication in PACE and with previously granted permission  
10 from the author, I would like to turn my attention now to  
11 what we believe is the single most comprehensive study on  
12 the issue.

13 McIvor, et al, evaluated the response of 25 ICD  
14 patients and 50 pacemaker patients who were asked to perform  
15 four exposures to three types of EAS systems, six actual  
16 devices in all. Those systems included two magnetic  
17 audiofrequency systems listed, three swept radiofrequency  
18 systems, and the acoustomagnetic system listed at the  
19 bottom.

20 [Slide.]

21 No interactions were observed in any of the ICD  
22 patients or two of the teletronic pacemaker patients  
23 reported. No interactions were reported for exposure to the  
24 swept RF systems and that is why they are not listed on this  
25 slide, or for the remaining 48 pacemakers, all exhibited

1 responses of the type I have mentioned earlier.

2 I would note here, the EAS-induced pacing are the  
3 first reports we have actually seen of this type and they  
4 warrant further evaluation.

5 [Slide.]

6 In addition to its broad investigation of the  
7 interactions between pacers and EAS systems, McIvor, et al.,  
8 also presents this table which merits consideration through  
9 today's discussions. Specifically, while there are clearly  
10 fewer interactions for the patient walking through the  
11 system which is represented as protocol A as well as  
12 standing in the middle of the system at the central point,  
13 which is protocol B, there were clearly interactions  
14 happening here.

15 [Slide.]

16 I would like to close by looking at some case  
17 studies. While these case studies don't represent large  
18 studies on the whole, they are illustrative of the types of  
19 interactions that we might see. They include the report  
20 from McIvor as well as from Mathew, et al., which were  
21 inappropriate discharges of an ICD and an EAS system and  
22 also the one from Eisenberg, et al., which is listed at the  
23 top which was a spinal-cord-stimulator patient who, walking  
24 through the system, suffered significant sequelae and ended  
25 up with long-term disability.

1 I guess, in conclusion, what I would like to say  
2 is that there is no single, comprehensive or conclusive in  
3 vitro or in vivo study nor is there any way for either  
4 device community to predict the advances of the other as  
5 they develop their next generations of devices.

6 In short, a commitment to communication and  
7 cooperation appears vital. Some of this will occur under  
8 the auspices of the ongoing drafting of voluntary standards.  
9 A direct communication between the two communities is vital.

10 In closing, I would like to say that there is  
11 clearly more work that needs to be done in this area.

12 MR. FLETCHER: Are there any questions at this  
13 time?

14 Dr. Jacobson?

15 DR. JACOBSON: So our question, then, is what do  
16 to.

17 [Slide.]

18 We have enough evidence, we think, to warrant some  
19 actions and that is why we are talking with you today. We  
20 really value your advice on these suggestions as I go  
21 through them during the discussion period. It will probably  
22 be particularly helpful after you have heard the  
23 presentations from the industry's perspective as well.

24 The one thing I would like everybody to keep in  
25 mind is that we really have two very different situations to

1 consider, too, in terms of the future, and that is things to  
2 think about for the installed base of products, things that  
3 are already out there, and also designs for future products.

4 [Slide.]

5 I think for the already existing products out  
6 there, both the medical and the security type, industry can  
7 develop safety recommendations for use. This could be  
8 labeling for users on the device or to their customers,  
9 retailers, for example. It could be some kind of signage on  
10 EAS systems and metal detectors so that device users would  
11 be aware that they are being used.

12 Additional in vivo testing, clinical-type testing,  
13 of patients would be very helpful to follow up on some of  
14 the things that you have seen, sort of tantalizing clues but  
15 it is hard to pin down some of the things, to help identify  
16 problematic combinations and also the information from such  
17 studies could be useful in designing future products.

18 The manufacturers would have to cooperate here.  
19 There is precedence for that in the clinical studies I  
20 mentioned that were done sponsored by the cell-phone  
21 industry for pacemaker interactions with cell phones that was  
22 paid for by the cell-phone industry and that was published  
23 last year in The New England Journal of Medicine.

24 In the course of these studies, in vitro work  
25 could be done simultaneously and then that in vitro work

1 could be used to correlate lab effects with those seen in  
2 the clinic and maybe allow the development of some good  
3 bench test, good surrogates for clinical testing so we  
4 wouldn't always have to go into the field to do this type of  
5 testing.

6 And, of course, we need to continue monitoring and  
7 reporting of adverse events.

8 [Slide.]

9 For our part, we can get the word out to  
10 physicians and patients. In your package, we included a  
11 draft advisory that we have done, intending that to go out  
12 to physicians, to cardiologists, neurologists, emergency  
13 physicians and others, to alert them to the issue so they  
14 can counsel their patients.

15 We are holding off mailing that until after this  
16 meeting to see what kinds of feedback we get here. We have  
17 also solicited comments and gotten quite a few from  
18 manufacturers and clinicians on that draft advisory.

19 One of our recommendations that is causing some  
20 problems to some of the physicians and EAS manufacturers is  
21 the one that suggests that patients may want to ask for  
22 alternate forms of entry or exit. We would be interested in  
23 your advice on that.

24 Another was our recommendation, "Don't stay near  
25 the device longer than necessary." There was a request to

1 change that to, "Walk through at a normal pace." We would  
2 be interested to explore that a little bit.

3 We also need to continue to urge physicians to  
4 report to us. Again, we use these reports as an early-  
5 warning system but, even in the last couple of days, we have  
6 gotten some information from physicians once they heard  
7 about this meeting, and, "Oh; I have a report; wouldn't you  
8 be interested in it?"

9 So we need to continue to get the word out.

10 We also could target information to special  
11 groups. We are starting to think that, given what we have  
12 seen, at least in the MDR that we have got in house, that  
13 maybe the neurostimulator patients--there aren't very many  
14 of them--they may be a special subset of patients that need  
15 some additional information, given the design of their  
16 product. We could target messages to individual groups.

17 And, of course, we need to continue our laboratory  
18 assessments and to do as much there as we can and to  
19 evaluate what is happening with some of these systems. If  
20 these voluntary efforts fail and if it looks like we have a  
21 public-health problem brewing, then we will have to evaluate  
22 our regulatory actions.

23 We would be looking both at the options we have  
24 under the medical-device law and under the rad-health  
25 statute.



1 [Slide.]

2 I think new products raise different kinds of  
3 issues. We have been stressing all of the session increased  
4 communication. We think that is really an important thing.  
5 Increased communication across the industries can be very  
6 powerful here in terms of each product manufacturer knowing  
7 the environment that their product is going to operating in  
8 and what products will be exposed to that environment and  
9 being able to design to that.

10 Device manufacturers will need to include  
11 electromagnetic interference as a design consideration under  
12 our quality-standards reg. We don't have a similar  
13 provision in the rad-health act unless there is a mandatory  
14 performance standard in place but, obviously, a good design  
15 is simply good practice so I don't think that really should  
16 be a problem.

17 We are also going to be looking at our premarket  
18 applications for medical devices to be sure that  
19 electromagnetic-interference issues are addressed both in  
20 terms of performance and in terms of labeling.

21 Again, we need to monitor what is happening out  
22 there and evaluate whether we need to do more and, if so,  
23 what.

24 [Slide.]

25 As I mentioned earlier, we have had quite a lot of

1 success in helping to work related issues through technical  
2 forums. Perhaps we should encourage a workshop or workshops  
3 for these products or some other kind of formal scientific  
4 exchange. I think the value of such an exchange could be  
5 realized if we, then, could take the information generated  
6 there and use it in good standards-writing efforts, going  
7 into the consensus standard-setting communication.

8           The metal-detector communication, as I mentioned  
9 before, has already started this. We, at FDA, have always  
10 been staunch supporters of the voluntary consensus standards  
11 process. The U.S. runs on voluntary consensus standards  
12 from setting fire codes to film speeds to heart-valve  
13 testing.

14           Very recently, last year, we were given authority  
15 by Congress to officially recognize voluntary consensus  
16 standards. They realized the magnitude of the job we have  
17 if we were expected to write mandatory performance standards  
18 for all medical devices. It simply isn't possible. So the  
19 idea of recognizing voluntary consensus standards is an  
20 incredibly powerful tool that we have now.

21           We are very excited about using it. We have  
22 already recognized this summer close to 400 voluntary  
23 standards that we would like to use. One of those is the  
24 EIC's standard on electromagnetic compatibility which would  
25 be very useful here.

1           So, I think buying into the consensus standard  
2 process is really a very viable option and would be a win-  
3 win for everybody.

4           MR. FLETCHER: Thank you, Dr. Jacobson.

5           Are there any questions from the panel?

6           MS. KAUFMAN: Do we have any data from countries  
7 outside the U.S. on incidence and any kind of actions that  
8 other countries have taken on this issue?

9           DR. JACOBSON: We probably have some anecdotal  
10 incidents, but most countries don't collect information or  
11 they are just starting to collect postmarket information in  
12 a consistent way.

13           MR. WILSON: On your MDRs, when was that  
14 information requested for this report?

15           DR. JACOBSON: When was the information requested  
16 of whom?

17           MR. WILSON: For the collection of all of this  
18 data. What I notice is that a number of these reports go  
19 back as far as 1988. A lot of them are old reports.

20           DR. JACOBSON: Right. There really is no formal  
21 request for this. This is a standing obligation that the  
22 device manufacturers and the user facilities have to supply  
23 this information to the agency. When we looked at the  
24 database, we just went back and looked at whatever was in  
25 there, however old it is.

1           So some of it may be on older products, for  
2 example, which may be where you were going.

3           MR. SAVIC: I noticed on your advisory that you  
4 don't have the infusion pumps listed in there even though  
5 there was one incident involving those. Is that a  
6 deliberate decision?

7           DR. JACOBSON: Yes. I think in the advisory, you  
8 will notice that we talk about--that we are really orienting  
9 this one towards the cardiologists and the neurologists. We  
10 are not sure and we are still considering, so we would be  
11 interested in your opinion as to whether it would be  
12 necessary to go out with something on infusion pumps. I  
13 think, now, there isn't really enough to warrant that. We  
14 have only one report.

15           MR. ELDER: Relative to that draft notice that you  
16 are sending out, I was just struck by the title of it being  
17 important information on anti-theft devices and so on and so  
18 forth. If most folks in the room are like me, they get mail  
19 all the time that says, "Important. Dated. Open  
20 immediately."

21           What I am saying is the word "important" is not so  
22 important anymore. I am going to suggest a title change,  
23 and it is just a suggestion. But why don't you hit them  
24 between the eyes with saying, "FDA recommendations for  
25 minimizing any adverse effects on pacemakers, ICDs, spinal-

1 cord stimulators by electromagnetic anti-theft devices."

2 DR. JACOBSON: We certainly will take your  
3 suggestion into consideration. These public-health  
4 advisories are a standard format that we use where we have  
5 different color ink on the front of the document. Hospitals  
6 and physician groups are relatively used to receiving them.

7 We had a recent one that you will hear about this  
8 afternoon on the medical-telemetry systems and actually went  
9 back and did an evaluation of the impact of that alert.  
10 Very high percentages of people remembered seeing it,  
11 remembered what the message was. So I hear you. We always  
12 struggle with that.

13 The thing is, we want to put this in context in  
14 terms of--the recommendations we have in there, as you will  
15 see, are fairly benign. Again, one of the reasons we are  
16 here today is to kind of feel our way along in terms of how  
17 much of a concern is this. We don't want to raise a lot of  
18 unnecessary fears among patients on the one hand. On the  
19 other hand, we want to make sure they have the information,  
20 like a very, very important message.

21 MS. EHRGOTT: Is this the correct time to be  
22 parsing this statement here, or do you want to defer that  
23 until later? I guess my concern is what was brought up  
24 before about alternate exits and entrances. Does that mean  
25 a similar information sheet has to be delivered to every

1 establishment that employs these monitors and is that  
2 problematic?

3 DR. JACOBSON: Yes. That is one of the concerns.  
4 In fact, the question was how do we go about getting this  
5 information to the retailers, for example, or to other users  
6 of these systems so that they would understand when people  
7 approach them and is it even technically possible to turn  
8 off these systems once they are in use.

9 Those are the kinds of issues I know that the  
10 manufacturers are very anxious to address.

11 DR. CARDELLA: I pass through these devices  
12 frequently, I'm sure, but I really haven't paid that much  
13 attention to it. Are they currently labeled as producing  
14 electromagnetic fields or is there labeling that advises  
15 pacemaker patients about it now? Are they unlabeled at this  
16 point?

17 DR. JACOBSON: The manufacturers actually can  
18 address that better than I, but, in terms of safety  
19 information about potential interactions of medical devices,  
20 most companies do not have anything like that in their  
21 labeling. Most stores, that I have seen, at least, might  
22 have the brand name but they don't have anything in  
23 addition.

24 Or they might say, "Protected by such and such."  
25 But it doesn't mention the fact that there is

1 electromagnetic radiation, as far as I know.

2 MR. FLETCHER: Thank you very much.

3 As you may note, we are slightly ahead of  
4 schedule. But if Jim Putzke is prepared, we will continue  
5 to move forward.

6 **Medical Device Industry Perspective**

7 MR. PUTZKE: Good morning. I am Jim Putzke. I am  
8 here this morning representing the Health Industry  
9 Manufacturers Association.

10 [Slide.]

11 I would just like to make one point initially and  
12 that is that our industry has a very important role to play  
13 in the filing of MDRs. In fact, I believe most of the MDRs  
14 are filed by the industry because we are obligated to report  
15 adverse events that we get from physicians or any healthcare  
16 professional, or anyone, for that matter, about our devices.

17 It was in a very specific time period so I think  
18 that you will find that most of the MDRs that are filed are  
19 filed by the industry as opposed--they may be initiated by  
20 healthcare professionals by calling the industry, but we are  
21 obligated to funnel those calls to a central location so  
22 that decisions can be made according to very specific  
23 criteria on whether this is an "MDRable" event or not.

24 I have tried to summarize a little bit what we  
25 know about the interactions although we have had some new

1 information this morning. But my overheads go back to a  
2 meeting that we had at HIMA with the FDA in the middle of  
3 July. So you will see the 30 number again later on.

4 And then I address the characteristics of  
5 implantable electronic medical devices. I don't mean to  
6 include prostheses of any type, knees, hips, heart valves,  
7 anything like that.

8 And I address the characteristics of these devices  
9 to see whether the adverse events make sense given what we  
10 know about the characteristics of the devices and then talk  
11 about a couple of applicable--I picked out three standards  
12 out of the CENELEC centers that our devices perform to to  
13 talk a little bit about the limitations that standards  
14 impose with respect to addressing these issues.

15 And then, I have some general conclusions and  
16 future plans. I have concentrated primarily on electronic  
17 article surveillance systems since patients come in contact  
18 with them more frequently and metal detectors encompass such  
19 a wide range of technologies. But, presumably, some of the  
20 same comments apply to both.

21 For each of the points, I will try to address  
22 pacemaker and defibrillators separately from  
23 neurostimulation devices because the incident rate is  
24 different. The designs are quite different. The clinical  
25 significance of the interaction is different and, of course,



1 the labeling which we provide with products, which I have  
2 included copies of in the handout, is also quite different.

3 [Slide.]

4 With respect to pacemakers and defibrillators,  
5 then, as of the 7-15 meeting, of the reported incidents,  
6 about 50 percent of them are related to pacemakers and  
7 defibrillators, nine of which involved EAS systems. One can  
8 only speculate as to the number of opportunities for  
9 interactions--that is, the number of times that our patients  
10 pass through these gates.

11 But a representative worldwide number is  
12 approximately 2.5 million active implants that are passing  
13 through EAS systems on a regular basis. I would say  
14 conservatively about half of those are in the United States.

15 The reported problems have, for the most part,  
16 involved either prolonged and/or close--what I mean is  
17 typically, patients leaning or standing in the immediate  
18 vicinity of the gate as opposed to passing through the EAS  
19 systems at a normal pace. Although most of the interactions  
20 involve low-frequency magnetic fields, interactions have  
21 also occurred on the so-called swept RF systems, on that  
22 technology.

23 Interaction is not some mysterious thing,  
24 interaction between the RF and the electronics in the device  
25 but rather it is the development of voltage on the leads

1 that connect our devices to the heart or to the nerves in  
2 case of neurostimulators--but develop voltages that are high  
3 enough due to the strong magnetic fields that it causes  
4 oversensing.

5 [Slide.]

6 With respect to neurostimulation systems, in terms  
7 of what is known, first of all, although most of the reports  
8 are on spinal-cord stimulators, this is a rapidly growing  
9 area and neurostimulators are being used for a wide variety  
10 of applications, for the control of Parkinson's disease,  
11 tremors, peripheral nerves, deep-brain stimulations, urinary  
12 incontinence, stimulating the movement of food through the  
13 digestive system. Almost everything that you can possibly  
14 imagine you could stimulate is certainly being experimented  
15 with.

16 As opposed to pacemakers, neurostimulators, at  
17 least today, do not include sense amplifiers. They are not  
18 trying to sense anything and it is strictly a pulse  
19 generator. But it is reasonable to assume that, in the near  
20 future, manufacturers will try to close the loop on  
21 neurostimulators--that is, try to sense myopotentials or  
22 nervous-system signals and provide additional benefit to  
23 patients.

24 It is also reasonable to assume that the  
25 sensitivities of these devices will probably need to be

1 higher than the current sensitivity with pacemakers and  
2 defibrillators.

3 In the case of neurostimulators, prolonged and  
4 close exposure--oh; I would mention that there are about  
5 50,000 active implants worldwide passing through these  
6 systems regularly. Again, they account for about half of  
7 the MDRs, or at least they did at that point in time.

8 In this case, prolonged and close exposure is not  
9 a prerequisite to patient discomfort. Even momentary  
10 exposure can be painful to patients, although,  
11 significantly, a single EAS technology is involved in the  
12 majority of the incidents--that being in the magnetic  
13 systems; the low-frequency magnetic systems--and interaction  
14 in this case, as reported by the FDA, is a result of  
15 developing sufficient voltage on the leads to support  
16 current flow that will directly stimulate wherever the lead  
17 is attached.

18 Really, the pulse generator would not even have to  
19 be in the body. Just putting the lead in the body by  
20 itself, the pulse generator doesn't really participate in  
21 that. So voltages that are developed in the lead system  
22 directly stimulates the heart. I am talking about the  
23 neurostimulation systems. It directly stimulates the  
24 nerves, not the heart, in the case of the neurostimulation  
25 systems.

1 [Slide.]

2 I thought we would talk a little bit about EMI  
3 protection that our devices contain today. Implantable  
4 medical devices are among the most resistant to EMI of all  
5 medical devices and are subjected already to rather  
6 extensive EMI testing although most of that testing does  
7 involve higher frequencies which are the characteristics of  
8 known intentional emitters; radio, t.v., radars, microwave  
9 ovens, cell phones, et cetera.

10 The titanium can, itself, is a very effective RF  
11 shield but not so effective at lower frequencies. In fact,  
12 as you will see on a later slide, we use about 30 kilohertz  
13 to about 200 kilohertz as the telemetry link for  
14 bidirectional communication between programmers and  
15 implantable medical devices. So the titanium can is pretty  
16 much not there in those frequency ranges.

17 All the lead systems which connect the device to  
18 the area of stimulation can act as antennas being surrounded  
19 by the body's conducting medium makes them a very poor  
20 antenna at high frequencies.

21 Then there must be, of course, a hole in the  
22 titanium can through which the leads pass. We use  
23 integrated-feature filters in that area to screen off RFI  
24 right at that point so that very little energy gets into the  
25 can.

at

1           But, after all, we must sense physiologic signals.  
2 We have band-pass filters that are centered in the area of  
3 25 to 100 hertz which are followed by switch-cap filters

1           But, after all, we must sense physiologic signals.  
2 We have band-pass filters that are centered in the area of  
3 25 to 100 hertz which are followed by switch-cap filters  
4 that further steepen the skirts on band pass. The  
5 sensitivities on these devices range from in the hundreds of  
6 microvolts--I would say 100 microvolt--to about 5 millivolts  
7 which is a very wide range. But keep in mind that we must  
8 not only sense the normal intracardiac electrograms but we  
9 also must sense the signals that are associated with  
10 abnormal activity like fibrillation which is a much lower  
11 amplitude signal.

12           So that is why most of the defibrillators have  
13 some sort of automatic gain control to drive the sensitivity  
14 down when there is not normal rhythm present.

15           As already mentioned, the presence of noise has  
16 been anticipated by pacemaker designers since day 1,  
17 essentially. The mode of operation that was chosen, or a  
18 feature of pacemakers, is that if they are confused by a  
19 rapid pulse rate, they revert to asynchronous mode which  
20 means they start pacing believing that is the safest thing  
21 to do if you are confused; start pacing.

22           And they will pace until the interference goes  
23 away. The frequency at which that begins varies from  
24 manufacturer to manufacturer but it is generally in the  
25 range of, say, 12 to 25 hertz or so as the lower end of that

1 and then up to a higher frequency.

2           The thought is that, certainly, at frequencies of  
3 12, 25 and 60 hertz, that cannot be a physiologic signal.  
4 It must be noise. Therefore, I am going to do something  
5 which I know is safe and that is pace.

6           [Slide.]

7           This was already covered mostly so we can go  
8 through this quickly. But telemetry--I have already  
9 mentioned that we use the range of 30 to 200 kilohertz for  
10 bidirectional telemetry with our implantable devices. RF  
11 reprogramming is just simply not a problem with modern  
12 products because of the extensive coding and error checking  
13 that goes on in that communication link.

14           Now, with respect to pacemakers and defibrillators  
15 only, oversensing, which is a result of the voltages  
16 developed on the lead, can cause the inhibition of the  
17 pacing output, reversion to asynchronous pacing. In the  
18 case of dual-chambered devices which are designed to sense  
19 in the atria and then pace in the ventricle, generally the  
20 sensitivity in the atria is set to a lower value than that  
21 in the ventricle so, as you approach a noise source, it is  
22 logical to assume that you would sense on the atrial lead  
23 first.

24           The pacemaker's response to that is, then, to pace  
25 in the ventricle. That has been called tracking. It is

1 important to point out, though, that when that does occur  
2 and we talk about high-rate pacing in these cases, it is  
3 always within the range that has been preset by the  
4 physician as being physiologically safe for that patient;  
5 that is, there is no mechanism that will cause the rate to  
6 go to some dangerously high rate.

7           So with a dual-chamber product, the physician must  
8 program a maximum tracking rate and it is usually in the  
9 range of 125 to 170 beats per minute, something in that  
10 range. So, in the presence of EMI, it will not do anything  
11 other than pace within that range.

12           Then, with rate-adaptive products--many of our new  
13 products include rate-adaptive features to help people that  
14 have a dysfunctional SA node. And so we need some way to  
15 know that the patient needs more perfusion. This is done  
16 with either accelerometers internal to the device inside the  
17 titanium can and, therefore, not susceptible at all to MI or  
18 measuring other things.

19           One thing that I think stands a chance of being  
20 impacted by these devices and has been demonstrated; there  
21 are devices that measure transfer of impedance by simply  
22 measuring the impedance between two points in the chest and  
23 use that to derive respiration rates so as you start to  
24 exercise or walk or whatever and you start to increase  
25 respiration, the pacemaker picks up its rate.



1           Again, with rate-adaptive products, the physician  
2 must program a maximum rate, what is called a "maximum  
3 sensor rate," and EMI or voltage picked up on those leads  
4 will not cause the rate to go outside of that range.

5           The unpublished report cited said, I am not aware  
6 of any instance of direct stimulation of the heart as a  
7 result of EMI on the leads. There is a good reason for that  
8 with pacemakers and defibrillators. At all times except  
9 when stimulation is the desired outcome, they present a  
10 rather high impedance to the lead system and, therefore, it  
11 is difficult to get a lot of current to flow in that lead  
12 system and to cause direct stimulation. So I think that  
13 report needs to be looked at.

14           Now, concentrating just on neurostimulators,  
15 again, and this has been said several times, direct  
16 stimulation is the issue with those devices. And, again, it  
17 is just due to the voltage that has developed on the lead  
18 system.

19           [Slide.]

20           I chose just these three sections out of the  
21 rather lengthy CENELEC standard that is a draft standard  
22 that we are working to. The first one is designed to limit  
23 the amount of current that can flow in the lead system as a  
24 result of EMI. All three of these tests are done with the  
25 signal generator directly connected to the pulse generator.

1 These are not radiated tests.

2           The pulse generator is directly connected to a  
3 signal source through an interface circuit and the  
4 frequencies vary. There are different limits, depending on  
5 the frequencies. But, in the first case, it basically  
6 limits the current that can flow to 50 microamps down in the  
7 lower frequencies and goes on up linearly, then, to about  
8 20 milliamps at the high frequencies.

9           The reason this is important here is that this  
10 really effectively limits the capacitance that one can  
11 select for the integrated feature filters because if those  
12 filters are too large a value, then more current will flow  
13 in the system at high frequency than is allowed by this  
14 standard and pretty much effectively limits the integrated  
15 feed-through filters to about the 2 nanofarad range.

16           The second one is talking about protection--the  
17 word "malfunction" is in the standard so I use that, but I  
18 want to explain. Here we apply, again, directly,  
19 frequencies from 20 hertz to 500 kilohertz at a voltage  
20 amplitude of 1 volt peak-to-peak CW.

21           The requirement is that the pacemaker--it can  
22 sense, it can inhibit, it can track, it can revert to  
23 asynchronous pacing. It just should do those things in an  
24 orderly manner and transition between those things in an  
25 orderly fashion.

1 I want to talk about the malfunction because it is  
2 almost inconceivable for me to imagine some EMI source that  
3 could possibly damage a pulse generator after it is  
4 implanted in the body. When you think of the tremendous  
5 energies that are associated with electrocautery in the  
6 hospital. You can defibrillate across the patient's chest  
7 with an implantable medical device.

8 Now, not counting things that are inside the  
9 hospital like radiation therapy, perhaps, or diathermy or  
10 something like that where you can heat up the generator  
11 because it is metal, EMI just is not going to damage pulse  
12 generators no matter what the magnitude, within reason.  
13 They will return to normal operation once the EMI is gone.

14 Then the other one is the protection from sensing.  
15 That is kind of, again, directly connected. It covers a  
16 wide range of frequencies and the differential voltage that  
17 is applied varies from about 200 microvolts peak-to-peak in  
18 the lower frequency ranges to about 1.5 volts peak-to-peak  
19 in the higher frequency ranges, in the 730 hertz modulation  
20 The requirement here is that the devices not sense.

21 Clearly, certainly, EAS technologies can generate  
22 voltages in excess of these limits on pacemaker and  
23 defibrillators in close proximity to the gates.  
24 Calculations which have been done by many--Mr. Casamento,  
25 this morning--show that assuming a 200 m<sup>2</sup> loop, which is not

at

1 large, show voltages that are significantly over 1 volt  
2 peak-to-peak are possible.

3 [Slide.]

4 Starting with conclusions, obviously, both the EAS  
5 system providers and the pacemaker/defibrillator providers  
6 are meeting all standards imposed on their industry.  
7 However, the standards do not preclude interaction.

8 The second point speaks to relevance. It is true  
9 that interference between these devices can be demonstrated  
10 and has been reported. The question is with the current  
11 level of awareness provided by manufacturers and healthcare  
12 providers and in the patient's normal daily interactions  
13 with these devices, is a clinically significant event  
14 likely.

15 There is a small number of complaints compared  
16 with a large number of daily interactions I believe strongly  
17 suggests that the answer is no.

18 The third, in considering any possible action that  
19 would affect the lifestyle of patients, the risk associated  
20 with the action should far outweigh the benefits. These are  
21 elderly patients, for the most part, that are already  
22 suffering from being more dependent on others. The  
23 pacemaker is, fortunately, one of these things that you  
24 don't need anybody's help to use. It creates a great deal  
25 of independence for a patient.

1           Some of the things that have been proposed--  
2 seeking an alternative entrance, for example--bothers me a  
3 great deal because that means you have to take somebody with  
4 you. Otherwise, who goes in to ask to seek an alternative  
5 entrance. Once you are in the store, if there is some type  
6 of emergency that you need to evacuate, what do you do? Do  
7 you ask somebody for an alternative exit? So I think that  
8 is putting the risks out of perspective.

9           [Slide.]

10           With respect to neurostimulators, again, both the  
11 EAS system providers and the neurostimulators are meeting  
12 their standards, the same statement, that these don't  
13 preclude interaction under certain conditions. The reported  
14 rate is about 50 times higher than that between pacemakers  
15 and defibrillators and the EAS systems.

16           In this case, one particular EAS technology, that  
17 magnetic technology, if not one manufacturer, accounts for  
18 the majority of the reported interactions. The  
19 neurostimulator labeling which I have examples of later  
20 contain stronger cautionary recommendations than current  
21 pacemaker/defibrillator labeling and that may be warranted  
22 by the higher rate of reported events.

23           Of course, in this case, patients may feel and  
24 occasionally experience painful stimulation from the peak  
25 voltages induced by EAS fields.

at

1 [Slide.]

2 I won't bore you with reading that whole thing but  
3 this is basically the labeling that is included by most  
4 manufacturers but only, again, predominantly for the last  
5 year, year and a half, with our submissions. It basically  
6 advises people that these devices exist and that they should  
7 not linger in the area of the entrances and exits of store  
8 and to proceed through these things at a normal pace.

9 [Slide.]

10 With respect to the neurostimulator labeling, I  
11 would mention, is a little more aggressive, the same thing,  
12 advising people that these things exist in libraries,  
13 stores, et cetera. They suggest using care as you approach  
14 these devices. If you feel unwanted stimulation, you might  
15 ask for assistance to bypass the device.

16 [Slide.]

17 I think, to summarize, lines of communication  
18 between the Health Industry Manufacturer Association and the  
19 IEASMA representatives are, certainly, already in place. I  
20 won't rule out possible changes in implantable medical  
21 devices that would help to minimize the interaction  
22 although, in view of the strong magnetic fields, I doubt  
23 whether total elimination, no matter how close you get is  
24 possible. But I never say "never."

25 Also I think there might possibly be changes that

1 could be made on the EAS side to help control the potential  
2 for interaction. But, again, you get into, as Dr. Jacobson  
3 explained--if the solution existed today, I think it would  
4 probably take, since our devices last six to ten years and  
5 development life cycles are two to three years, it would  
6 take ten or twelve years before you would purge the system  
7 even if a solution existed today.

8 I do think one thing is that EAS providers should  
9 avoid placing systems where people are required, in the  
10 normal conduct of business to linger, and that is at the  
11 counter. You must stand there while you are being checked  
12 out. I would prefer at the entrance and exits where you are  
13 expected to pass at a normal rate.

14 We need to develop means for communicating the  
15 characteristics of implantable electronic medical devices so  
16 that whenever technology permits, interactions can be  
17 avoided. It seems like standards committees and regulatory  
18 bodies mainly consider two points when they are licensing  
19 intentional emitters. One is they limit the emissions to  
20 prevent the interference with other licensed emitters. That  
21 makes sense.

22 Two, they limit the emissions to the biohazards  
23 which are defined by the ANSI 95.1. I would just like to  
24 suggest that there is one other consideration and that is  
25 the extent to which these devices might interfere with

1 implantable electronic medical devices which are enhancing  
2 the quality of life for millions of people worldwide.

3           Perhaps a section for the ANSI standard could be  
4 developed dealing with the characteristics of these devices  
5 and steps taken that could minimize, if not eliminate, the  
6 potential for interference.

7           Thank you very much.

8           MR. FLETCHER: Thank you.

9           Questions from the panel?

10           MR. THOMAS: In your conclusions and also when you  
11 showed us the applicable standards, whose standards are  
12 those? Are those HIMA, FDA?

13           MR. PUTZKE: No. Those are CENELEC standards  
14 although, as you probably know, Mitch is working with a  
15 group, EMC committee of AMI that is working on standards  
16 that AMI will produce. Those are CENELEC, the international  
17 standards.

18           MR. THOMAS: Then, a follow-on to that; in the  
19 neurostimulators, you provided applicable standards for the  
20 pacemakers and defibrillators but there were no standards  
21 that you gave us for the neurostimulators.

22           MR. PUTZKE: I believe that is a hole in the  
23 system today. I am not aware of standards which--

24           MR. THOMAS: So there are no standards for  
25 neurostimulator?



1 MR. PUTZKE: That I am aware of.

2 MR. THOMAS: So, therefore, the conclusion is  
3 incorrect that they are meeting all standards because there  
4 are no standards to meet.

5 MR. PUTZKE: Yes. I think you have a good point  
6 there. I am not aware. Now, somebody else might address  
7 that later.

8 DR. LIPOTI: One of the points that you made is  
9 that you are concerned about the strong language in the  
10 draft warning that would go out to physicians who were  
11 implanting these devices in their patients. Yet when I read  
12 what kind of warning is already in the patient labeling for  
13 spinal-cord stimulators, it really is quite comprehensive.

14 This is under tab L of our handouts. The patient  
15 labeling goes on to say, "The devices listed below have  
16 magnetic energy to cause painful increases in stimulation if  
17 you are near them. Where possible, it is best to avoid  
18 theft detectors and airport security devices. The devices  
19 listed below have enough magnetic energy to turn your IPG on  
20 or off if you are near them. Approach these devices  
21 carefully; large stereo speakers with magnets, MRI  
22 equipment, manufacturer and heavy industrial equipment,  
23 electric-arc welding equipment, electric induction heaters,  
24 electric steel furnaces, power lines and electrical  
25 substations and power generators."

1           Would you comment on that? That was written by  
2 industry; right?

3           MR. PUTZKE: Right. I think, in general, our  
4 labeling usually includes most everything that we can think  
5 of that could potentially interfere. I guess what I am  
6 primarily concerned about is the notion that, in conducting  
7 their normal, everyday activities like shopping, for  
8 example, which is something which would be considered, I  
9 think, a normal, everyday activity, that people would be  
10 more dependent on others as a result of having an implanted  
11 medical device.

12           Anecdotally, when we are with the cell phone--I  
13 happen to have a relative with a pacemaker. We went through  
14 the cell-phone stuff and it turned out to basically be a  
15 non-issue unless the antenna was held directly over the  
16 implant site, within several centimeters, basically.

17           But, to this day, that relative will not use a  
18 cell phone. I think that is very unfortunate because that  
19 can be a lifesaving device of its own type if you need to  
20 get a hold of somebody. So I think that, since these people  
21 are generally older, anything that you do that makes them  
22 concerned and reminds them that they are being supported or  
23 their life is being enhanced with an artificial device is  
24 the wrong thing to do unless there is a significant risk.

25           DR. LIPOTI: I have one more question. I am not

1 really aware of what all these spinal-cord stimulators do.  
2 You mention they are used in Parkinson's, urinary  
3 incontinence and to move food through a digestive system.  
4 What might passage through and EAS system do to somebody who  
5 had one of these implanted for those reasons?

6 MR. PUTZKE: Certainly, you would probably want to  
7 get somebody up to--I don't happen to represent that  
8 particular manufacturer very well. It is pretty much a  
9 single manufacturer doing those implanted devices these  
10 days. But I believe that mostly these devices--it causes a  
11 sensation or a feeling of pain. I don't really know in the  
12 case of Parkinson's or tremors or some of the--I believe it  
13 would be the same. They would have possibly a sensation of  
14 pain. It was reported in one of the MDRs that the patient  
15 actually fell down. I don't know to what extent you can  
16 validate that that was attributable to that, but it was  
17 certainly reported.

18 I think these other things are slower moving  
19 things that you ordinarily--one stimulation that you would  
20 get passing through gates, although there is pain, isn't  
21 going to cause any other situation.

22 MR. TUROCY: The MDR reportable requirements, as  
23 far as I understand, involve three categories; death,  
24 serious injury and serious illness, or malfunctions that  
25 would cause those two conditions. Do you have a frequency

1 or a breakdown from the medical device manufacturers that  
2 fall into either of those three categories?

3 MR. PUTZKE: I don't. Perhaps somebody from the  
4 FDA could comment on that since I only represent pacemakers.  
5 I don't know. Certainly, death would be very uncommon. In  
6 fact, I am having a hard time remembering one in my 20-some  
7 years of experience. But serious injury categories would be  
8 an unwanted shock from the defibrillator as a result of the  
9 MI. I believe we have had several of those that have been  
10 reported. Actually, in both those cases, going back to that  
11 same setting and trying to replicate that was unsuccessful.  
12 But, nonetheless, it is undeniable that it occurred.

13 MR. TUROCY: To the best of your knowledge, then,  
14 there is no death.

15 MR. PUTZKE: To the best of my knowledge.

16 MR. TUROCY: And serious injury is possible?

17 MR. PUTZKE: That, of course, wasn't reported in  
18 any of these. That would certainly be reported.

19 MR. TUROCY: Thank you.

20 MS. EHRGOTT: Just one more. When you say 50,000  
21 active implants passing through EAS systems regularly, the  
22 50,000 applies to the total implants in the United States  
23 and then regularly it could be one or more times a week?

24 MR. PUTZKE: That's correct.

25 MS. EHRGOTT: So we are talking about the

1 multiplier of that as events--

2 MR. PUTZKE: It might be a worldwide number. I am  
3 quite sure that it is.

4 MS. EHRGOTT: That is a worldwide number.

5 MR. PUTZKE: Right.

6 MS. KAUFMAN: You said something in your opening  
7 statement that confused me about who is making the reports,  
8 the medical-device reports. It sounded to me like you were  
9 saying that it is the medical-device folks that are making  
10 that report?

11 MR. PUTZKE: That is what I believe. I know that  
12 we turn in thousands.

13 MS. KAUFMAN: And you said something about if that  
14 were the case that it is the medical device representative  
15 who is making the decision as to whether or not it is  
16 reportable?

17 MR. PUTZKE: Yes, according to criteria that have  
18 been agreed to by FDA and the medical-device industry.

19 MS. KAUFMAN: Lastly, my question is, on  
20 pacemakers, you had mentioned that the devices always  
21 returned to their normal function in their own? I just  
22 wanted to clarify that. Then never require any intercession  
23 by anyone. The way that they are designed, they always  
24 return to their normal function?

25 MR. PUTZKE: Yes; that's correct. These are all

1 temporary things.

2 DR. CARDELLA: My question was similar to Cass's.  
3 As these devices get more and more clever in terms of the  
4 algorithms and situations that they can attempt to address,  
5 how confident are you that, (a), it makes the right  
6 decision--in other words, it may not be as smart as  
7 everybody leads it to believe. It probably just goes to a  
8 default position.

9 In other words, if it is confused, it paces. My  
10 concern is that the device may get stuck in that mode. We  
11 don't know the reliability with which it converts back, at  
12 least I don't. That is issue No. 1. And, if a device  
13 defaults to a position such as the defibrillator being  
14 turned off, is there a provision that that turns itself back  
15 on eventually or does it require, by happenstance, the  
16 patient goes to the physician and finds out, "Oh, jeez; my  
17 defibrillator has been turned off for six weeks and I didn't  
18 know it." Question No. 2.

19 Question No. 3 is what is the incidence of  
20 spuriously defaulted functionality of these devices. How  
21 often do you go to the physician and say, "Gee; the  
22 defibrillator is turned off. It must be that the battery is  
23 weak," and those types of issues. What is the incidence of  
24 that occurring?

25 MR. PUTZKE: Very, very, very low with respect to

1 the last one. With respect to the defibrillators being  
2 turned off, some of those devices have been designed so that  
3 the application of a magnet for a period of time, 30 seconds  
4 is typical, will revert them back and forth between modes.

5 So it is more likely in the cases of devices being  
6 turned off that the patient came in contact with some form  
7 of permanent magnet. True, they may have remembered going  
8 through an EAS gate but everybody goes shopping. I  
9 certainly suspect that those instances were the result of  
10 coming in contact with a permanent magnet someplace.

11 I'm sorry; the first question?

12 DR. CARDELLA: Is there a provision for the device  
13 to come out of the default position?

14 MR. PUTZKE: The way reversion is done, it isn't  
15 like the device internally sets a register or something and  
16 says, "I'm going to revert," and, therefore, you might be  
17 concerned about it getting locked up there. The reversion  
18 is a function of sense events occurring at a certain  
19 interval. As long as they occur at that interval, it is  
20 like a retriggerable flip-flop. As long as they occur at  
21 that interval, then the output of the sense amp is ignored  
22 for purposes of resetting the timing.

23 But, as soon as they go away, then normal  
24 operation continues. I am not aware of anything ever being  
25 locked up in that mode. Now, there can always be failures,

1 of course.

2 DR. CARDELLA: So, typically, in the case of a  
3 pacemaker, the reversion to asynchronous pacing is an active  
4 process and not a default process. In other words, it  
5 converts to that on purpose and, given its d'ruthers, it  
6 will revert back to demand pacing, let's say.

7 MR. PUTZKE: Absolutely.

8 DR. MARX: I had one comment and follow up of what  
9 you said. My experience has been, as a person who does put  
10 in implantable devices, when we report an adverse event to  
11 industry representatives that we work with, they are  
12 obligated to report that to FDA.

13 MS. KAUFMAN: So the manufacturer is not making  
14 the decision. It is the physician who is making the  
15 decision? I am a little bit confused on that.

16 DR. MARX: There may be more than one decision.  
17 First I have to decide that I am going to say something  
18 about it to you and then you have to decide that you are  
19 going to report it to the government. But I have not had an  
20 experience where something I thought was significant did not  
21 get reported.

22 MR. PUTZKE: We have been really careful about  
23 making sure that phone calls that might even smell like a  
24 complaint get routed to the right people in the company so  
25 that MDRs can be filed if that is the decision.



1 MR. FLETCHER: This will have to be the absolute  
2 last comment.

3 MR. TUROCY: The decision to file that MDR report  
4 is made upon whether the event falls into three categories;  
5 death, serious injury or serious illness, or a malfunction  
6 that would cause those two events. So the manufacturer has  
7 that obligation to investigate and determine whether the  
8 event has contributed to either one of those three  
9 categories.

10 MR. FLETCHER: I would ask the committee at this  
11 point to hold your remaining comments until we get to the  
12 committee discussion portion. We have arrived at the point-  
13 -I think I thank you for getting us back on schedule, but we  
14 have arrived at a point where we are scheduled to take a  
15 break. So please be back for the next presentation  
16 scheduled for 10:30.

17 I would ask that those people signed up for the  
18 public hearing please come forward and see Dr. Suleiman at  
19 this time.

20 [Break.]

21 MR. FLETCHER: Let me do two things before you  
22 begin. First of all, I want to take a moment to recognize,  
23 just in case we get too busy in the future, the members of  
24 this committee that will be rotating off. They will be  
25 Betty Sisler, Joe Elder, Stanley Savic, Bob Turocy and Jane

1 Ehrgott.

2 We want to say thank you for your service and for  
3 your comments and for sticking through for the last four  
4 years. We have really appreciated you. We will miss you  
5 and we encourage you to keep in touch with the activities of  
6 the TEPRSSC committee. So thank you very much.

7 Second, I would like to call Nancy Presley to give  
8 a very brief explanation on a portion of one of the  
9 questions that came up regarding MDRs this morning.

10 MS. PRESLEY: I am Nancy Presley. I am in the  
11 CDRH's Office of Surveillance and Biometrics. I was just  
12 asked to give you a little introduction or a little  
13 background on the MDR medical-device reporting since there  
14 was some questions about that this morning. I will let you  
15 know this is totally unprepared and off-the-cuff.

16 There are different reporting requirements  
17 depending on who you are and where you are. We have  
18 reporting requirements for manufacturers. There is also  
19 mandatory reporting for user facilities. Under the user  
20 facility reporting requirements which came from the Safe  
21 Medical Devices Act back in 1990, it requires user  
22 facilities, which would be hospitals, nursing homes, long-  
23 term care facilities, pretty much anybody other than a  
24 private doctor's office, to report deaths and serious  
25 injuries, or injuries, or illnesses, back to the

1 manufacturer when they occur, when they have these adverse  
2 events.

3 They have to report deaths not only to the  
4 manufacturer but also directly to the FDA. So if a death  
5 report happens, it goes to both the FDA and the  
6 manufacturer. If it is a serious injury or serious illness,  
7 they are reported only to the manufacturer.

8 They are not required to report malfunctions.  
9 They can voluntarily report malfunctions under the voluntary  
10 reporting but it is not a requirement. Manufacturers, on  
11 the other hand, are required to report deaths, serious  
12 illnesses and injuries, and malfunctions to the FDA. Many  
13 of the reports that the manufacturers get come through the  
14 user facilities and the user facilities formal reporting to  
15 them, but they also get notified about reportable events in  
16 other ways, either from private physicians who are not  
17 required to report, sometime from consumers.

18 There can be any number of ways that they get  
19 information about an event that is reportable, not only from  
20 the user facility. So their requirements are slightly  
21 different. The manufacturers do have to report malfunctions  
22 if it could lead to a serious illness, serious injury, or  
23 death.

24 That is the basics of it. Are there any  
25 questions?

1 MS. KAUFMAN: Could there be any question on the  
2 part of a manufacturer as to whether these incidents that we  
3 are discussing today would have been considered a  
4 malfunction?

5 MS. PRESLEY: Yes. What you are asking is if  
6 there room in there for interpretation that they wouldn't be  
7 reportable? I think a case could be made for that, that  
8 these would not be malfunctions that would lead to serious  
9 injury or death. So they could be considered non-  
10 reportable.

11 MR. FLETCHER: Thank you very much.

12 Our next presenters, and let me advise that, from  
13 this point on, we will be operating on a timer basis so that  
14 we can get everybody in because we have got a lot of  
15 presentations. The lighting system is before you. I  
16 believe the way it works is it is green until you get to the  
17 last two minutes and then you get a yellow and a red.  
18 At red, I will stop you.

19 I was told I needed a gavel. I have been provided  
20 with--now, what you don't understand is I am a Marylander.  
21 I do eat crabs. I know how to use this.

22 Please proceed.

23 **EAS Industry Perspective.**

24 MR. KLEIN: In fear of that gavel, I am Rudolph  
25 Klein, known as Bud to most people. I am recently retired

1 Vice President of Monarch Marking Systems. Now, I am  
2 consulting with Monarch and several other companies  
3 including Sensormatic. I was one of the founding members of  
4 IEASMA, the EAS trade association over ten years ago and I  
5 have been the president of this organism for the last three  
6 years.

7 IEASMA membership represents most, but not all, of  
8 the EAS major systems companies. It represents Knogo, Meto  
9 and Sensormatic but does not represent 3-M or CheckPoint at  
10 this time.

11 For today's presentation of the portion of the  
12 position of the EAS industry that we do represent, I would  
13 like to introduce a key member of our technical committee,  
14 the IEASMA technical committee, Dr. Geraint Davies. Dr.  
15 Davies has a Ph.D. from Cambridge in the United Kingdom  
16 where he taught physics for several years and then joined a  
17 consulting group where he develops electronic and medical  
18 products and works in EAS as a consultant to Meto, the  
19 third-largest EAS company.

20 Dr. Davies?

21 DR. DAVIES: Thank you very much.

22 It is a great privilege to be here today. Thank  
23 you very much. I am particularly glad that we have had such  
24 good presentations before which have made a lot of the  
25 important points to be made and also some very perceptive

1 questions as well which I will try to address in this talk.

2 [Slide.]

3 On the first slide, I show you the overview of  
4 what I will be talking about today. I would like to discuss  
5 anti-theft in a little more detail to give you a bit of  
6 background of what it is like and place it in its social  
7 context. I would also like to talk about the relationship  
8 between EAS and implantable devices.

9 As the FDA has described, at the moment, we have  
10 no public-health problem with that relationship. What I  
11 would like to talk about is how we can keep that going into  
12 the future through the way we communicate with patients and  
13 also through the activities of the various industries  
14 involved.

15 [Slide.]

16 So a little bit about the EAS industry. First of  
17 all, there are around a million systems worldwide and the  
18 EAS systems are used by the vast majority of major U.S.  
19 retailers. There are also various trends in retailing which  
20 mean that EAS is set to grow even more in the future.

21 Now, theft by employees and by members of the  
22 public is a significant problem. It is around a \$20 billion  
23 problem in the U.S. That works out at around \$500 per  
24 household. This is kept in check by EAS technology. So EAS  
25 lowers prices for everybody. It saves job for people in the

1 retail trade. And, of course, it improves the shopping  
2 experience.

3 [Slide.]

4 I won't go through all the details here. There  
5 are several different EAS technologies and, as people have  
6 described, they suit different retailer needs. So there is  
7 microwave technology, there is swept-RF technology,  
8 acoustomagnetic and electromagnetic technology.

9 It is important to recognize, these technologies  
10 have been around for a long time. Ten years is the youngest  
11 technology. The frequency ranges which are covered go all  
12 the way from very low frequencies to very high frequencies,  
13 as has been described. And I have also listed some of the  
14 key advantages. There are many other factors that come into  
15 a retailers choice about which system to use, but I have  
16 listed some of the key ones there.

17 I would like to go into the physics of why all  
18 these different systems have different frequencies, and so  
19 on, but I am afraid I don't have time. Trust me, there are  
20 real good physics reasons why the different systems have  
21 different frequencies for the different needs of the  
22 retailer.

23 It is worth noting that there have been no new  
24 anti-theft technologies in seven years. It is a very stable  
25 platform and, indeed, in each installation, a system will be

1 installed for typically over ten years.

2 [Slide.]

3 So, first of all, as an industry, I should point  
4 out that many of the companies which are involved in our  
5 industry have many different technologies. They offer  
6 different technologies. In particular, nearly all of the  
7 companies offer RF technology. So, as an industry, we have  
8 a good overview of the types of interactions which come from  
9 all of the different technologies.

10 On the other hand, the consumer really can't tell  
11 the difference between different systems. So if you try to  
12 advise them to behave differently with respect to one system  
13 or another, they wouldn't really know how to respond.

14 [Slide.]

15 If I refer to the MDRs which the FDA has and,  
16 also, case reports and anecdotal reports, you will find that  
17 all types of EAS systems create interactions with  
18 implantable defibrillators. So the technologies which  
19 induce interactions include electromagnetic,  
20 acoustomagnetic, and, indeed, swept-RF technology.

21 This, in published peer-review studies, we find  
22 that all of these technologies can affect implantable  
23 devices.

24 [Slide.]

25 You might wonder why, for example, RF technology



1 which has very high frequency would cause any interaction  
2 with pacemakers. If I might try to explain this curve here;  
3 this is a typical pacemaker behavior curve where you have  
4 sensitivity up this axis and frequency along here.

5 Of course, it is trying to sense low-frequency  
6 signals from the body, so it has a filter which rejects  
7 high-frequency signals. It would typically not have any  
8 interaction to a high-frequency signal up here at about  
9 10 megahertz, for example, with RF technology.

10 [Slide.]

11 However, what you might find--in fact, what you do  
12 find--in practice is that, for example, the RF signal has a  
13 sweeping frequency. That can cause modulation in its  
14 intensity or, indeed, the person may be swinging around  
15 inside the system which is also producing a modulation in  
16 the signal intensity.

17 Because of the input electronics of the pacemaker,  
18 it can easily demodulate that signal into one of a very low  
19 frequency and, although the intensity of that demodulated  
20 signal is much lower than the intensity of high-frequency  
21 signal, it is within the interaction sensitivity of the  
22 pacemaker. So that is one of the reasons why they can all  
23 interact.

24 [Slide.]

25 You have heard a lot this morning about

1 interactions and various types of medical conditions and it  
2 can be quite scary. So let's consider why we can, on the  
3 one hand, talk about all these interactions and, on the  
4 other hand, say that we have no public-health problem.

5           There are two reasons for this. One has to do  
6 with the infrequency of the interactions and the other one  
7 has to do with the lack of severity of the interactions. I  
8 will talk about both of these.

9           First of all, we have seen that, in the last ten  
10 years, there are 21 MDRs associated with EAS. I have split  
11 those out. You find that, in that period of time, there are  
12 83,000 MDRs associated with pacemakers and only nine of  
13 those are associated with EAS. So that is around 1 in  
14 10,000.

15           There are several implications about that. One of  
16 them might be we consider, when we are making pacemaking  
17 manufacturers to respond to the situation, how careful do we  
18 have to be about telling them to focus on this 1 in 1,000, 1  
19 in 10,000, events compared to all the other things that they  
20 are supposed to be dealing with. I think there were some  
21 very pertinent questions in that regard earlier on.

22           If we look at defibrillators, in that period of  
23 time, there were around 3,000 MDRs and only two of those  
24 were associated with EAS. So that is under one in 1,000.  
25 Of course, as the point was made earlier, during this

1 period, there have been well over a million implants and  
2 these people with implants are going through EAS systems all  
3 the time.

4           You can work it out. It is probably about a  
5 billion times that these systems have been tested, these  
6 implants have been tested in EAS systems. We are coming out  
7 with very low numbers that you can count in the fingers of  
8 your hand.

9           So this is a tiny piece of the implant story and  
10 it is not a public-health problem.

11           [Slide.]

12           This is also supported by clinical research.  
13 There are historical studies which, I believe, the next  
14 speaker is going to talk about. I won't go into those in  
15 any great detail, but looking at 100,000 patients  
16 historically, there are no instances reported of  
17 interactions or clinical symptoms.

18           In in vivo studies--that is, trials on real  
19 patients, over 600 patients--we have found various types of  
20 interaction noted during these trials. But I think the most  
21 significant thing is the comments that the physicians who  
22 are running these trials. These are the physicians who are  
23 making these comments about the interactions, and you see  
24 the types of comments they say. "These are not serious."  
25 "They are benign." "They are not clinically significant,"

1 and, "They do not represent a danger."

2           These people have the patients' welfare at heart.  
3 They are not going to be lying to us about what they think  
4 the effects of these interactions are.

5           [Slide.]

6           There are some details here. For example, when  
7 you hear some people talk about interactions and they are  
8 worried about them, it is important to know that  
9 asynchronous pacing, for example, as we have heard, is a  
10 planned electromagnetic interference strategy. It is  
11 planned by the pacemaker manufacturer.

12           In addition, in some tests that you might see,  
13 there are some protocols about hugging the pedestals,  
14 twisting inside the gate for a long period of time. These  
15 are, in general, not found in the real world. They are  
16 very, very extreme circumstances that are being tested there  
17 and it is simply not typical. Typical behavior is people  
18 that will pass through an anti-theft system in a few  
19 seconds.

20           It is also worth noting that the fields that we  
21 have here are inductive fields so they fall off very rapidly  
22 with distance. It is cube of distance, so you only have to  
23 go a very small distance away from the pedestal before the  
24 field has fallen significantly.

25           Of course, as we have just heard from the implant

1 community, implant EMI designs are improving all the time.

2 [Slide.]

3 So our practical advice to pacemaker patients is  
4 walk through the systems at a normal pace, don't linger,  
5 don't lean. This is endorsed by the clinicians that we have  
6 talked to and it is also supported by the labeling which is  
7 in pacemaker and ICD devices. It comes with them.

8 Now, people can conform to this because, first of  
9 all, the systems are normally in plain view. We prefer to  
10 deter people from shoplifting rather than catch them, so we  
11 like to make them in as plain view as possible. Very often,  
12 the systems are labeled to encourage this.

13 We have heard about concealed systems and some  
14 concerns about that. First of all, I should say that  
15 concealed systems have the same field strength as non-  
16 concealed systems. There is no additional field to get  
17 through brick walls or anything like that. It is the same  
18 field strength.

19 But we do recognize that patients wouldn't be able  
20 to know where they are and what we are suggesting is  
21 voluntary signage throughout the industry of these concealed  
22 systems which says that EAS systems are in use.

23 We have also heard concerns about aisle systems.  
24 There are technical things such as the zone of potential  
25 interaction with the aisle systems which are the narrow

1 systems in checkouts. First of all, those are usually quite  
2 narrow because the field intensity is low.

3           Secondly, you don't queue up for goods once you  
4 have paid for them. Whenever you are queuing up, you  
5 haven't paid for the goods and you can't catch somebody for  
6 stealing stuff before they have had the opportunity to pay  
7 for it. So, typically, there are no queues, no lineups,  
8 inside the systems.

9           Finally, we have heard about walkaround as a  
10 possible route to solving these problems. We don't believe  
11 that that is practical. We have heard several arguments  
12 about what might happen in the wrong type of situation. It  
13 is also important to recognize that there are no on-off  
14 switches on these systems. The retailers don't want them  
15 because the employees could switch the system off and let  
16 their cousins go through and so on, and also the guards to  
17 operate these systems would be very costly for them.

18           Indeed, there are usually no unprotected exits  
19 either because you also protect the exits the employees can  
20 go through because they can steal things, too.

21           [Slide.]

22           I will pass very quickly over the next slide. I  
23 think the important thing to recognize about this is that  
24 you have to balance any physical risk that you might assume  
25 with the psychological risk to the patients of unnecessarily

1 causing anxiety for them. That is a delicate balance which  
2 needs to be considered at all times and is in the minds of  
3 the clinicians when they give us the advice about what to do  
4 about anti-theft systems, to reassure patients, not harm  
5 them.

6 [Slide.]

7 I won't talk in any great detail about this slide.  
8 As an industry, we have had a lot of track record with  
9 talking to the pacemaker community and the implant  
10 community. We have talked to medical experts. We have been  
11 talking to the implant community for over eight years and I,  
12 personally, have been at conferences in Europe where we have  
13 given papers on this topic.

14 It is well-known to both industries. We have also  
15 set up a research facility which, I think, is important. We  
16 have set up a research facility which is an independent  
17 facility in Georgia Tech which allows these confidentiality  
18 issues of manufacturers to be overcome because manufacturers  
19 of both pacemakers and anti-theft systems can test the  
20 interactions in confidentiality.

21 [Slide.]

22 So I come to my recommendations, finally, and  
23 thank you very much for your tolerance. I think these are  
24 pretty much in line with what we have heard so far. We  
25 continue to share data. We emphasize the use of the Georgia

1 Tech Research Institute. We allow manufacturers to continue  
2 to improve their EMI designs and, as the anti-theft  
3 community, we continue to obey the global standards, conform  
4 to the global standards, with which we already conform.

5 How to stay ahead of the curve as systems develop?  
6 We have heard discussions of establishing in vitro models  
7 that can be validated that allow testing to be made more  
8 simple and more predictable.

9 MR. FLETCHER: I am going to have to cut you off.

10 DR. DAVIES: Okay. Thank you very much.

11 MR. FLETCHER: Thank you.

12 Let me point out, first of all, for the next two  
13 presenters, it was not our guidance and instructions that  
14 someone besides the person listed give the presentation.  
15 However, since that has occurred once, we will allow that  
16 for these presentations if you already have someone to do  
17 so.

18 MR. GILES: Good morning. My name is Olin Giles.  
19 I am Senior Vice President and Chief Technical Officer of  
20 Sensormatic Electronics Corporation. We are located in Boca  
21 Raton, Florida and, while it is nice to be here today, I  
22 have one eye on the Hurricane Georges situation and am  
23 hoping to, perhaps, get back before we get an untimely visit  
24 from Hurricane Georges.

25 [Slide.]



1           Sensormatic is really a leader in the area of  
2 electronic security and, in particular, electronic article  
3 surveillance, having been one of the founding companies in  
4 this industry some 30 years ago, introducing to retailers  
5 EAS. A majority of top retailers around the world use our  
6 systems and we are very proud of the fact that we have a  
7 global presence and that we have 445,000 systems installed  
8 and that we are really part of the landscape.

9           It is really hard to avoid passing through an EAS  
10 system as some of the earlier speakers have covered.

11           [Slide.]

12           It is important for you to understand that there  
13 are a number of different types of EAS technologies. You  
14 have heard reference to those. You have seen breakdowns and  
15 you will see others. We are unique in the industry in that  
16 we offer all of the EAS technologies. These technologies  
17 started 25 years ago with our microwave system.

18           Microwave has some unique advantages. It occurred  
19 during the time America was having malls built all around  
20 the country. It was the only technology that would cover  
21 the wide entrances in a shopping mall. But, like most  
22 things in life, it is not a perfect technology. It had  
23 shielding problems, some false alarms and limitations.

24           Another technology which Sensormatic and others  
25 offer in industry is swept-RF. It is a great technology.

1 It has low cost, inexpensive tags, a terrific scan  
2 deactivation approach, but it has limitations in terms of  
3 exit width.

4 I should point out that electromagnetic technology  
5 came along not too far in time after, some 20 years ago. It  
6 was initially used in libraries because it had the advantage  
7 of being able to be turned on and turned off as you brought  
8 books back. But it had disadvantages in terms of the  
9 opening width and the fact that it, too, also had false  
10 alarms.

11 Finally, the most recent technology, and yet it is  
12 ten years old, is acoustomagnetic. It offers wide openings,  
13 not as wide as microwave, very high detection rate, not  
14 false alarms, small label size, but it, too, has  
15 limitations.

16 So, in summary, we have a technology for different  
17 retailers and it really isn't feasible for one technology to  
18 cover all of the market.

19 [Slide.]

20 We are here to talk about whether or not there is  
21 a public-health issue. It has been noted already, but just  
22 to quickly summarize, EAS is not new and medical-implant  
23 devices are not new. In fact, they both go back about the  
24 same length of time, 25 to 30 years. Like other electronic  
25 products, there are interactions but interactions that are

1 safe for the most part. And that is important to us as a  
2 major supplier of security systems, that these products be  
3 safe.

4 To assure that, we have been working with the  
5 medical-implant industry for a number of years. We are very  
6 knowledgeable of all the manufacturers. We know the key  
7 players by name in these companies and we have been carrying  
8 on a dialogue for some time that I will tell you more about  
9 in the future.

10 So you might say that it is not by accident that  
11 this occurred but by plan. As a matter of fact, I can tell  
12 you, in the case of Sensormatic, on more than one occasion,  
13 we have changed our product to improve the compatibility to  
14 some degree, which we thought was significant.

15 So the result is we have over a billion passages,  
16 as has been reported earlier, through EAS systems, we feel,  
17 without a public-health hazard and, as has been said  
18 earlier, few adverse events.

19 [Slide.]

20 But as Dr. Jacobson covered at the outset, the  
21 past is the past. We want to keep it this way. We want, in  
22 the future, to be able to continue to insure this as medical  
23 devices become more complex.

24 I saw recently the article in the back of The New  
25 York Times with all the electronic apparatus that, in the

1 future, is going to be part of our body or, perhaps, our  
2 body, so it is something to keep in mind. These devices are  
3 being developed now.

4 I can tell you, as we read about any new medical  
5 device, we write a letter to that manufacturer and say,  
6 "Hey; think about this as you design the product." And EAS  
7 will become more widespread.

8 [Slide.]

9 This chart has been reviewed, the MDR chart, in  
10 several different ways but a little different summary here.  
11 I have broken it down by EAS technology across here.  
12 Sensormatic offers the Acoustomagnetic, the Swept-RF, the  
13 Electromagnetic No. 1. The Electromagnetic No. 2 is a  
14 subset of electromagnetic and you will see why that is in a  
15 few minutes. We do not offer that.

16 You will note, as Dr. Davies described earlier,  
17 that there are nine MDRs associated with pacemakers and  
18 MDRs. 83,000 MDRs are essentially for every 10,000 reported  
19 MDRs on pacemakers, only one adverse event. For ICDs, also  
20 a very low rate. For spinal stimulators, a little higher--  
21 spinal stimulators a much higher rate.

22 I think, for that reason, we understand why  
23 perhaps, with a different audiences, different physicians, a  
24 small population, perhaps a tailored message to that  
25 audience might be appropriate. I should point out that all

1 EAS technologies are located on this chart, similar levels  
2 of MDR count; the Acoustomagnetic, the Swept-RF, the EM  
3 No. 1. You will see that they are virtually the same in  
4 terms of reported MDRs.

5           So we think that representation--perhaps, it is  
6 underreported. We understand that. Whether it is 40 or 50  
7 percent, as was acknowledged earlier, we believe these are  
8 relatively low numbers for the overall MDR count of 85,000  
9 or so.

10           [Slide.]

11           Some observational studies, in addition to the  
12 MDRs. We are aware that there are two major databases  
13 associated with pacemakers, one in the U.K., some  
14 59,000 patients. These are prospective databases. By that,  
15 at the time of any adverse event, you try to understand what  
16 it is so that you can go back and do a database search as  
17 opposed to downstream, someday, saying, "Well, let's try to  
18 figure out what happened there."

19           We had that database searched and there were no  
20 adverse events attributable to EAS.

21           Another large database closer to home here, the  
22 Department of Veterans Administration or Affairs, 43,000  
23 patients covering, I think, fifteen years. We surveyed that  
24 database and only one adverse event was there for airport  
25 detectors, none for EAS. So these observational studies, we

1 feel, add to the MDR because they are databases that are  
2 maintained very actively and we believe that they are at  
3 least a piece of information and data for your  
4 consideration.

5 [Slide.]

6 Our company and others have sponsored clinical  
7 studies in addition to our other activities that we  
8 described earlier. Some 450 patients have been studied to  
9 date. These are in vivo studies. To quickly summarize. It  
10 has already been covered. Dr. Mugica in Stimulcoeur, a  
11 peer-reviewed paper, in 1997, 200-plus patients. Dr.  
12 Botella in Spain, some 60 pacemaker patients. A paper is in  
13 process there, not yet published.

14 Dr. Frank in France did a study for a major  
15 retailer in France and that retailer had heard some  
16 questions and had a study done. Dr. Dodinot has been  
17 reported earlier, actually two published articles by Dr.  
18 Dodinot in PACE in 1993 and 1997. Dr. McIvor, who is here  
19 today to speak, will tell you about his 50 pacemaker  
20 patients and 25 ICD patients.

21 And Dr. Douglas Zipes is here today and will tell  
22 you about his study which is still ongoing, about half  
23 finished, and he can give you his update on that.

24 All of these studies find that acoustomagnetic and  
25 electromagnetic interact. The interactions are brief and

1 not of consequence. Walking through at a normal pace really  
2 would insure safety as a result of any of the observations  
3 observed here.

4 [Slide.]

5 We have covered acoustomagnetic and  
6 electromagnetic. Our company also manufacturers swept-RF  
7 technology. Separately, we have looked at the literature  
8 here and some of the studies. I think this has been covered  
9 earlier, a recent study, in fact, just published in PACE by  
10 Dr. Wilke in Germany. He studied in vitro interactions and  
11 noted interactions in a number of pacemakers tested.

12 Lucas and Dodinot in PACE in articles also noted  
13 some in vitro interactions. I pointed out earlier that  
14 there are two MDRs on that summary which showed that there  
15 are MDRs on all technologies. Two of those came from swept-  
16 RF. And we are aware of some anecdotal reports of other  
17 adverse events, four of them, I think it is, associated with  
18 that.

19 Finally, there is a study going on at Johns  
20 Hopkins which you will hear more about in a few minutes.  
21 So, fundamentally, they all interact. Our company offers  
22 all the technologies. But we believe the interactions are,  
23 for the most part, virtually always safe.

24 [Slide.]

25 So, as Dr. Jacobson said at the outset, the key

1 here is to work for the future to insure that a relatively  
2 good safety record stays intact as devices become more  
3 complex, as EAS systems proliferate. So we strongly support  
4 and want to be involved in the voluntary standards  
5 committees that are addressing issues of these types.

6 We already are active on the AMI committee that  
7 Mitchell Shein talked about earlier. We also support  
8 additional research in this area. We are willing to fund  
9 some of that research. We believe the FDA should play a  
10 proactive role in that research. And so we are interested  
11 in having discussions to talk about whether something along  
12 the lines of the recent cell-phone study that was  
13 independently set up or some other way to insure that the  
14 right level of data and the right integrity is brought to  
15 bear on this particular issue.

16 We believe as an industry, the Georgia Tech  
17 facility which has been on line now two to three years, is  
18 one that all of us should try to use because, in that  
19 facility are most of the typical EAS systems that are  
20 available and it allows medical-implant manufacturers or EAS  
21 manufacturers to go in and test their products to be sure  
22 that the compatibility is there.

23 Again, I can tell you that we have done that on  
24 every product that we have introduced for the past ten years  
25 and, on more than one occasion, made some minor changes to



1 make that compatibility really compatible.

2           Lastly, working with device manufacturers to  
3 improve device compatibility is important going forward. As  
4 Mr. Putzke described in his earlier comments, he believes,  
5 and we believe, that improvements can be had. We are aware  
6 of devices, as a result of our own testing, that are  
7 virtually immune to interaction and we believe, as these  
8 devices are understood and more emphasis placed on that,  
9 that they can be further improved, better filters, better  
10 linearity.

11           We are confident that progress can be had in that  
12 area.

13           [Slide.]

14           So, in summary, I would leave you with these five  
15 major points. Adverse events are rare. I think that point  
16 has been made by several of the speakers. They are not non-  
17 existent but they are certainly rare. I think it is clear  
18 from the clinical studies, from the in vitro studies, from  
19 the MDRs, that all EAS technologies have some form of  
20 interaction--not unsafe, but some form of interaction.

21           This is the result from a lot of cooperation  
22 between our industries that we can accelerate by working  
23 more effectively together. We believe it is fair to state,  
24 as Dr. Jacobson did at the outset, that there really isn't a  
25 public-health problem today. And we want to keep it that

1 technologies. The EAS industry in the U.S., for the most  
2 part, consists of two major companies using completely  
3 different technologies. RF is available from both companies  
4 while acoustomagnetic, the other major technology, EAS  
5 technology, is exclusively available from a competitor.

6 [Slide.]

7 Electromagnetic, or EM, systems sold by both  
8 companies has faded from major use in the U.S. retail  
9 markets but is still used widely internationally.  
10 Checkpoint has only 200 such systems primarily serving  
11 libraries. Microwave also appears to be slowing  
12 dramatically in the U.S. as well.

13 The discussion today should not focus on any one  
14 company but rather on the specific technologies offered.  
15 The work being undertaken by this committee must reflect the  
16 characteristics of those individual technologies.

17 [Slide.]

18 To fail to do so would be akin to grouping a  
19 propane engine that may burn clean with a diesel engine that  
20 may not with the pollution of motor vehicles. To analogize  
21 with FDA-regulated products, the agency regulates medical  
22 devices by placing them into one of three classes, depending  
23 on such factors as the risk to the patient.

24 Similarly, the committee should take into account  
25 the different levels of risks presented by the varying

1 technologies used in EAS products. As I will discuss, RF  
2 systems have been shown, in all studies that we are aware  
3 of, to be the best performing major technology for the  
4 avoidance of interactions.

5 Any proposed action should not paint the whole  
6 industry with the same brush. Checkpoint sells and services  
7 radiofrequency systems that operate at 8.2 megahertz. We  
8 have seen them described by the FDA this morning. For over  
9 25 years, Checkpoint has cooperated fully and openly with  
10 the largest manufacturers of implant devices dating back to  
11 and including such companies as Arco and Cordis, Siemens, of  
12 course, now St. Jude and Medtronic, by providing our RF  
13 equipment to the manufacturers and letting them do the  
14 testing.

15 Checkpoint has even gone so far as to sponsor  
16 testing with groups such as the Montefiore Medical Center,  
17 St. Francis Hospital and the Heart Institute of St.  
18 Petersburg. Our funding of such products was without  
19 preconditions or interference regarding methodology. We  
20 have never reinterpreted nor interfered with the publication  
21 or presentation of the results.

22 Checkpoint fully expects to fully cooperate with  
23 manufacturers of implant devices in an effort to learn more  
24 about the issues discussed today. We will continue to  
25 support ongoing research in this area.

1 [Slide.]

2 We have noticed articles written by medical  
3 professionals who conclude that there is no clinical  
4 significance to magnetic-system interactions with cardiac  
5 pacemakers and, consistently, we see the safety claims, as  
6 long as you don't hesitate when going between the systems,  
7 or as long as people walk at normal speed or as long as  
8 patients use good judgement passing through the systems  
9 without stopping.

10 [Slide.]

11 The reality is that it may be naive to expect  
12 elderly pacemaker patients, on their own, to avoid pausing  
13 in an EAS system. How can a patient avoid an in-aisle  
14 system which is typical in supermarkets, during checkout at  
15 a wholesale club as the receipt is examined, or at a mall as  
16 people stand near the system pondering a coat purchase or  
17 pause at the entrance of a store front, perhaps in a mall,  
18 to talk to a friend. The systems are designed to be  
19 unobtrusive.

20 [Slide.]

21 A study of EAS systems in pacemakers conducted by  
22 the Heart Institute of St. Petersburg that was sponsored by  
23 both of the leading EAS companies is the only in vivo study  
24 conducted in the U.S. so far as we are aware. Checkpoint,  
25 it turns out, was asked for a larger grant than the other

1 company which also sponsored the study.

2 We look back at our acceptance and, believe me,  
3 wish the grants were more equal. However, the study speaks  
4 for itself. The study protocol was reviewed by the  
5 institutional review board and was presented for peer review  
6 twice at NASPE and EuroPACE. The findings of the study are  
7 clear and convincing: radiofrequency EAS technology had no  
8 interactions with pacemakers in this in vivo study.

9 The other study which was acoustomagnetic had  
10 interactions as discussed earlier today with 96 percent of  
11 the patients. Based on this kind of study, it is critical  
12 to distinguish RF from other technologies.

13 Other studies confirm these results. Dr. Mugica  
14 recently did an in vivo study with acoustomagnetic  
15 technology in France that, in our view, was done in an  
16 unusual way but still showed interaction results.  
17 Apparently, patients were tested while in a metal bed,  
18 sitting on the bed, leaning up against the bed.

19 Our engineers tell us that a metal bed is likely  
20 to absorb much of the EAS energy. Even so, the magnetic  
21 systems still showed high levels of interactions with  
22 pacemakers thus confirming the Heart Institute St.  
23 Petersburg's conclusion.

24 Regarding the Wilke study published in PACE  
25 recently, none of the systems tested were from Checkpoint.

at

1 They were from a European manufacturer. Also, RF systems  
2 operating in Europe may have stronger emissions based on  
3 more relaxed FCC-type agency positions.

4 Even so, the in vivo studies conducted by this  
5 report showed no interactions for RF technology. And, in  
6 fairness, Checkpoint purchases components from this  
7 manufacturer and the components are customized to reflect  
8 the U.S. FCC requirements.

9 Georgia Tech Research Institute is often referred  
10 to in safety claims by other groups as an expert in EAS  
11 pacemaker testing. But Georgia Tech has never approached  
12 nor asked Checkpoint to participate in any projects nor have  
13 they shared any data.

14 They cite confidentiality. It strikes us odd that  
15 these studies are used as such a strong reference point for  
16 magnetic technologies yet all information remains hidden.  
17 To use secret studies to support safety claims is simply  
18 wrong. We are aware of significant non-RF interaction  
19 occurring with certain systems here in the U.S. that we  
20 believe will become public in case-history reports.  
21 And we also believe that there is great underreporting  
22 today.

23 [Slide.]

24 We believe that these interactions are severe  
25 enough to cause great scrutiny on the EAS industry in a very

1 public way. Checkpoint has even seen the press confuse  
2 pictures of our RF systems in their descriptions of other  
3 systems. What is particularly troubling and, perhaps, more  
4 frightening for the medical community and public-health  
5 officials are the attempts to intimidate the Heart Institute  
6 of St. Petersburg including what appears to us as legal  
7 threats regarding the full release of their study.

8           Scientific information by qualified experts and  
9 open to the review process cannot and should not be  
10 suppressed from public view. We have a peer-review process  
11 so that health experts may review data and draw their own  
12 conclusions free of commercial interest.

13           Even with these containment tactics by others, the  
14 FDA has indicated that they have received enough input on  
15 interactions to reach a point where they must make a more  
16 serious and probing inquiry into the pacemaker interaction  
17 issue. And we support this view.

18           Common sense suggests that pacemaker patients and  
19 their families be entitled to a warning when they enter a  
20 store with an EAS technology in use that it has a high  
21 degree of probability to cause an interaction particularly  
22 when the interaction could cause dizziness or worse. We  
23 agree with the options that advise patients not to linger  
24 near those EAS systems.

25           Conversely, RF-system technologies that have been

at

1 shown through in vivo studies to have low emissions using  
2 frequencies that do not produce interactions should not bear  
3 this burden. Again, grouping all technologies merely to  
4 address a potential problem with one is unfair.

5 To our knowledge, Checkpoint has never been shown  
6 to have an interaction with any in vivo testing which is the  
7 best basis for good data.

8 [Slide.]

9 It is important that the EAS industry be motivated  
10 by public-health authorities to develop technologies that  
11 reduce the potential for unwanted interactions. Avoiding a  
12 university requirement for warning signage will motivate EAS  
13 developers to use technologies and strategies that provide  
14 good performance without interference potential.

15 EAS technology providers should at least be able  
16 to show in scientific studies that a given technology will  
17 or will not cause interactions so that reasonable judgments  
18 can be made as to whether a given technology requires  
19 warning signage. Technology-specific signs may help  
20 patients make more informed choices about where they choose  
21 to shop based on their personal risk and, just as important,  
22 their well-being.

23 On another point, Checkpoint proposes that FDA  
24 consider taking a stronger role in guarding against  
25 misinformation, false statements, legal intimidation tactics



1 or paid-consultant medical influences that seek to prevent  
2 or delay valid studies or case histories about EAS  
3 pacemakers from being published.

4 We believe that there may be examples of such  
5 activities today directed at PACE and, possible, the New  
6 England Journal of Medicine. There are reports that medical  
7 consultants or lawyers have even attempted to influence  
8 doctors with patients who have experienced severe  
9 interactions not to publish case-history reports. These  
10 kinds of actions are clearly not in the interest of public  
11 safety.

12 One another point of clarification; IEASMA, the  
13 so-called EAS trade association, does not speak for  
14 Checkpoint and never has. In fact, one of the main reasons  
15 we never joined them was their position by its members that  
16 there should be no competition on the basis of health-device  
17 issues dating back to its formation in 1991.

18 Checkpoint believes in public safety, open testing  
19 of equipment and the free dissemination of information. The  
20 involvement of the FDA in these efforts is both welcome by  
21 Checkpoint and necessary to insure that unfair grouping of  
22 all technologies does not result. FDA involvement will also  
23 add the stature necessary to address the subject in an  
24 orderly, scientific manner without "the sky is falling"  
25 alarm but with differentiation among technologies.

1 In review, RF and magnetic technologies should be  
2 evaluated and judged separately because of their unique  
3 characteristics. We are advocates for assuring consumer  
4 safety through scientific study. Technologies that are  
5 designed in ways that avoid unwanted interactions should be  
6 encouraged.

7 Finally, legal intimidation and undue influence  
8 should give way to open disclosure, good communication and  
9 the peer-review process. Our final comment on today's  
10 proceedings; it may be important for any presenter, whether  
11 from an industry, academia, research or medical institution,  
12 to fully disclose his or her source of compensation  
13 including the primary manufacturers of EAS systems.

14 I believe that many of the doctors here today have  
15 an arrangement with a particular vested interest.  
16 Checkpoint is committed to assist this committee and the FDA  
17 in the continuing research and investigation of these  
18 matters.

19 Thank you.

20 MR. FLETCHER: Thank you very much.

21 Our next presenter will be Mr. Podhrasky.

22 **Metal Detector Industry Perspective**

23 MR. PODHRASKY: Good morning. First of all, I  
24 would like to thank everyone for the opportunity to be here  
25 today and speak. I think these are very important issues,

1 issues that have been close to my heart for quite some time  
2 and are consistent with the work that I have been doing.

3 [Slide.]

4 My name is Bob Podhrasky. I am Vice President and  
5 Director of Research and Development for Garrett Metal  
6 Detectors. This is just my resume there so you know who I  
7 am. I have been associated with metal detecting for 30  
8 years. I have been working in product development for most  
9 of that time and I have contributed to eighteen patents.

10 I am a member of the ASTM and the AAMI. Through  
11 my ASTM work, I am able to interface with the other  
12 manufacturers, the other metal-detector manufacturers. In  
13 fact, I was able to speak to five other manufacturers to get  
14 their ideas, to include their ideas in today's talk.

15 [Slide.]

16 I have some concerns. First of all, there is no  
17 organized effort between the industries, which are metal  
18 detector and medical implant, et cetera, to assure safety.  
19 There are no guidelines or standards for us as an industry  
20 to fall back on and, in today's changing world, industries  
21 do present a potential to be moving targets to each other.

22 There is concern from the public and, to some  
23 degree, guilt by association. Reports on problems from  
24 electronic blankets to hairdryers, microwave ovens, cell  
25 phones, power lines, EAS systems, et cetera, increase the

1 public concern about all devices.

2           When reporting a problem, as you have seen in some  
3 of the reports, a person will often recall that they were  
4 exposed to a metal detector and totally be unaware that they  
5 were exposed to many, many other sources of influence. I do  
6 see a lack of understanding leading to misinformation, and  
7 this includes information from doctors, from writers, from  
8 security administrators, security guards and sometimes even  
9 their own manufacturers.

10           Quite often, when I read an article concerning the  
11 safety of metal detectors, I see at least some amount of  
12 incorrect technical information.

13           I want to let you know that I do get questions  
14 from my customers. On the average of once a week, I send a  
15 letter out to my customers regarding the safety of metal  
16 detectors. Those questions are split by about 50:50  
17 concerning the safety with regard to pacemakers and safety  
18 with regard to pregnancies.

19           [Slide.]

20           Some of the observations that I have;  
21 manufacturers and their customers and the public are all  
22 concerned about safety. We know that metal-detecting  
23 equipment meets all known standards. These are examples of  
24 some of the standards. We have Canadian Health and  
25 Radiation Protection Bureau, an IEEE document, OSHA

1 documents, the old standard for metal detecting, the NILE CJ  
2 standard 601 and 602 which has put an energy limit for metal  
3 detectors on the table for over 20 years.

4 We have the Department of Commerce. I think most  
5 interesting and most current, though, are the European  
6 standards, ENV 50166 and also some other European standards.

7 [Slide.]

8 Other observations are the field strengths that we  
9 experience are not unusually large. They are within the  
10 levels normally experienced in the daily environment.

11 [Slide.]

12 Here is a slide showing some examples of magnetic-  
13 field strengths that you might experience in a typical day.  
14 Let me read across the bottom from left to right. EAS, ELF  
15 EAS devices. The next over--and these are in ammmeters. The  
16 next over is 56 ammmeters for a hair dryer. Next is from the  
17 AAMI Medical Journal which shows that operating rooms can  
18 have a magnetic field of 56 ammmeters or more.

19 Going over next is low-frequency AS systems with  
20 50 ammmeters. The next is somewhat surprising; an electric  
21 shaver held at 6 inches from the body at 48. The next is a  
22 hand drill held at 6 inches from the body. That might be a  
23 pretty common occurrence. Then we go down to 15 ammmeters  
24 which is a walk-through metal detector at 5 ammmeters. That  
25 is turning sideways to the panel in the worst case and then

1 1.6 ammmeters for a hand-held metal detector going down to  
2 1.3 ammmeters as you walk by your television set or  
3 0.31 ammmeters for a video-display terminal.

4           So you can see the metal detectors are certainly  
5 within the realm of energy levels that one might experience  
6 day in and day out.

7           [Slide.]

8           Our industry feels that 25 years of experience has  
9 shown that there have been few reports of interactions.  
10 Another thing you might like to know is that field strengths  
11 and wave shapes in the industry have not changed  
12 significantly over the years. We typically respect the  
13 guidelines set 20, 25 years ago by the NILEC and tend to  
14 stay within those levels.

15           [Slide.]

16           Other comments from the manufacturers are past  
17 efforts to enlist the assistance of the FDA and the medical-  
18 implant manufacturers have not been successful, particularly  
19 my conversations with implant manufacturers. They say,  
20 "Well, I cannot address every metal-detector manufacturer so  
21 if you come to me as a manufacturer and ask for testing or  
22 verification, I am not able to do that."

23           However, if you come to me as an industry, we will  
24 be able to work with you. Another element is there are  
25 several studies--most of the manufacturers have had some

1 sort of study made in a university or in a hospital.  
2 Typically, the conclusion is that metal detectors are safe.

3 [Slide.]

4 Here is an example on this next slide of the  
5 study. It is pretty much unreadable but the bottom line  
6 says, "The interference was observed from hand-held metal  
7 detectors and on 14 implantable cardiac pacemakers, no  
8 interference was observed." So, again, this is a typical  
9 test. They make a test and they say there is no  
10 interference observed.

11 How thorough the test was, what the conditions  
12 were and all that, these are something that, certainly, you  
13 should be concerned with. But I can tell you that when the  
14 metal-detector manufacturers go and ask that researchers do  
15 testing on the equipment, the answer is always, "There is no  
16 interference."

17 [Slide.]

18 Studies have been made and articles published  
19 promoting the use of metal detectors to assist medical  
20 diagnosis of ingested materials. That is more recent  
21 history, that we do have doctors and other researchers who  
22 are using metal detectors for other applications on the  
23 human body and, again, there have expressed no concerns  
24 concerning safety.

25 [Slide.]

1 Opinions? Metal detectors do no physiological  
2 damage to the body. That is important because people do  
3 ask, particularly ladies who are about to bear children, and  
4 people who work around metal detecting on a long-term basis  
5 are certainly concerned about whether these machines affect  
6 their body. Our opinion is no, they don't.

7 The next thing is metal detectors have no  
8 significant effect on know medical implants. You see that I  
9 am not saying they don't have effect on medical implants,  
10 but no significant effects.

11 There is a need to be better able to understand  
12 the safety of these products. I have been working very  
13 aggressively to establish some standards and guidelines to  
14 be able to demonstrate the safety. There is also a need to  
15 insure safety in the future. As times change, and as  
16 equipment changes, we need to have some guidelines, some  
17 understanding between the industries to fall back on to be  
18 sure that we are doing the right things.

19 [Slide.]

20 Some more observations; our industry is in a  
21 difficult position. Evidence indicates that the potential  
22 for interaction is too great to say metal detectors are  
23 totally safe. However, the perceived problem is not great  
24 enough to develop the necessary resources of others to  
25 determine the limits of safety.



1           So, yeah; everybody will say it is a problem, you  
2 should be concerned about it. But it is not a big enough  
3 problem to do anything about. That is a very difficult  
4 position for our industry. The FDA report referenced  
5 earlier today showed 15 interactions or 15 reports in the  
6 nine years of the studies.

7           My concern about the report is first there is no  
8 follow up to verify the cause. Was it the combination of  
9 the equipment? What was the use? What was the severity of  
10 the interaction? There was no notification sent to the  
11 metal-detector manufacturers to allow investigation into the  
12 cause of the problem.

13           If there is a problem, the manufacturers are very  
14 interested in learning those problems and working with the  
15 medical-implant manufacturers to understand causes and  
16 effects and incorporate those ideas into their new designs.

17           There was no determination of if the product was  
18 actually caused by a metal detector or if it was caused by  
19 another device. As I pointed out earlier, there are a lot  
20 of devices that you come in contact with during a day. Was  
21 it a transceiver? Was it EAS? Was it other electronic  
22 equipment or was it the metal detector?

23           The last is there was no the determination if the  
24 problem was really with the medical implant and not with,  
25 perhaps, the metal detector. If you will study the

1 information provided, you will find that 6 of 15 reports  
2 addressed one particular model of defibrillator. I think  
3 that is important to note.

4 I would say that if industry guidelines were set  
5 and if the medical-implant manufacturers had incorporated  
6 that information into their testing program, those six  
7 interactions probably would have never happened.

8 Concerning notifications and warnings, I find they  
9 are typically skewed toward the safety of the medical  
10 industry. There is nothing wrong with that, but I think we  
11 need to be aware. But they do not consider the need for  
12 comprehensive security measures and safety of the general  
13 public, as it was being discussed earlier, that you can't  
14 always walk around an EAS system.

15 There are some applications of security that  
16 consider only metal detecting to be the most comprehensive  
17 means of scanning a person. Hand searches don't do the job,  
18 so sometimes, if you are in a prison, sometimes if you are  
19 going to see a high official or sometimes if you are going  
20 into a courtroom, you may be denied access because you don't  
21 want to go through the metal detector.

22 The notifications combine metal detectors and EAS  
23 devices. I am concerned even hearing the conversations  
24 earlier on that the position of EAS--well, it could be a  
25 problem but, if you do the right thing, it won't be a

1 problem. I would like to not be included in that  
2 conversation. I would like to demonstrate that metal  
3 detectors are, in fact, safe.

4 Again, the notifications, I find, are often based  
5 on a lack of knowledge. This is even from the medical-  
6 implant manufacturers. I don't believe the position that--I  
7 can't know everything that a medical-implant manufacturer  
8 bills and a medical-implant manufacturer does know what  
9 metal detectors, what all they can do, so how can you say  
10 anything is particularly safe.

11 [Slide.]

12 Facts about metal detectors. Metal detectors are  
13 used for security applications, hand-held and walk-through  
14 types. They operate by sensing disturbances in  
15 electromagnetic fields and they are designed and  
16 manufactured around the world. The major manufacturers are  
17 in Italy, Finland and the United States.

18 The hand-held metal detector has a search probe.  
19 The current flows to the search probe, typically operates at  
20 a frequency of 10 kilohertz to 100 kilohertz, has a field  
21 strength of 4 amperes or less at a distance of one inch.  
22 It needs to pass within 1 to 4 inches of a weapon to be  
23 detected. And a portion of the human body will be exposed  
24 at 4 amperes for less than a second.

25 Energy decreases quickly with distance.

1 [Slide.]

2 A walk-through metal detector has coils on one or  
3 both sides of the equipment. It typically operates in an  
4 unmodulated or continuous wave or pulse mode. A continuous  
5 wave operates from 5 to 10 kilohertz. Pulse detectors  
6 operate at 200 to 400 pulses per second. Frequencies below  
7 50 kilohertz can have field strengths of 80 amperes which  
8 is 1 gauss, at 2.5 centimeters from a panel, typically  
9 5 amperes at 15 centimeters from the panel. Typically, a  
10 person walking through will be exposed to 2 amperes for a  
11 duration of 3 seconds.

12 [Slide.]

13 Work with the ASTM. Again, we are responsible for  
14 security devices. F1263 specifically addresses metal  
15 detectors and we are currently working on a document.

16 Let's skip down through the ASTM documents.

17 [Slide.]

18 These are the field levels here.

19 [Slide.]

20 Our next ASTM meeting will be in Norfolk,  
21 Virginia. We will be discussing the document that we showed  
22 just previously. Recommendations; we recognize that current  
23 is generally safe. We recognize that no design changes are  
24 needed to insure safety. We want to take advantage of the  
25 fact that the detector industry is willing to work with the

1 medical industry to establish safe levels acceptable to both  
2 groups and we want to determine the mechanisms which affect  
3 safety and provide this information to the metal-detecting  
4 community for consideration.

5           The last thing is we want to avoid a broad-brush  
6 approach to warnings which serves to confuse the consumer  
7 and compromise the safety environment.

8           Thank you very much.

9           MR. FLETCHER: Thank you very much.

10           That is going to have to conclude our morning  
11 presentation. Once again, I emphasize to the committee that  
12 we will have ample opportunity for questions and answers at  
13 the 1:45 committee discussion. But since we have a full  
14 slate of open hearing presenters at 12:45, I encourage you  
15 to get lunch and get back quickly.

16           [Whereupon, at 11:30 a.m., the proceedings were  
17 recessed, to be resumed at 12:45 p.m.]

## 1 A F T E R N O O N P R O C E E D I N G S

2 MR. FLETCHER: Let me remind the presenters that  
3 we will employ a timer. One presenter, for everyone's  
4 information, Dr. Berger, will not be presenting. So each  
5 presenter will have 15 minutes. The warning will come on at  
6 two minutes so that you can bring your presentation to a  
7 close.

8 I would like each presenter to give their name,  
9 title, a little bit of background and to provide this  
10 committee with any information of a financial-disclosure  
11 nature that might indicate any conflict of interest. So any  
12 financial support that has influenced your presentation,  
13 please provide this committee with that information.

14 **Open Public Hearing**

15 DR. McIVOR: Thank you. You are not going to  
16 throw the mallet, though; right?

17 MR. FLETCHER: Not yet.

18 DR. McIVOR: I am going to try not to test you on  
19 that. My name is Michael McIvor. I am the Medical Director  
20 of the Research Section of the Heart Institute of St.  
21 Petersburg in St. Petersburg, Florida. So I have my eye on  
22 Hurricane Georges as well hoping that it will hit Boca Raton  
23 and not St. Petersburg. Friends get sort of split when  
24 hurricanes come through.

25 [Slide.]

1 I did the research that you saw this morning,  
2 pieces of, that is in press. That research was supported by  
3 a number of people. It was supported by Sensormatic. It  
4 was supported by Checkpoint. It was supported with  
5 engineering support from St. Jude Medical. It was supported  
6 with support from Medtronic.

7 As far as my conflicts, I am not being paid to be  
8 here today. I am not a consultant with any EAS  
9 manufacturer. I don't have stock in any EAS company. I am  
10 not an officer at any EAS--et cetera, et cetera. I have no  
11 conflicts. And the money all went to the Heart Institute of  
12 St. Petersburg, not to me.

13 I would just like to comment before I do my part  
14 to some of the questions that came up this morning, what I  
15 was hearing, about MDR reporting and so on. If Mrs. Jones  
16 died in the shopping mall and the paramedics picked her up  
17 and brought her to the emergency room and she was pronounced  
18 dead on arrival, would anybody even ask if she went through  
19 an EAS system.

20 I don't think so. I wouldn't. To be less  
21 dramatic about it, if Mrs. Jones passed out at the mall and  
22 was brought in by the paramedics, I would never even think  
23 to ask, "Did you just walk through an EAS system?" We heard  
24 one of the committee members today say he wasn't even sure  
25 if he had been through and EAS system lately.

1           In fact, a survey in England surveying people  
2 coming out of stores, asking them, "Have you just been  
3 through an EAS system or a shoplifting kind of system?" only  
4 about 5 or 10 percent had recognized that they had just been  
5 through one. I certainly didn't pay any attention to them  
6 until I became involved in this research.

7           It is very difficult to document these cases, so I  
8 think searching databases and looking for MDR reports is  
9 going to be a very fruitless search. Some of these cases  
10 are bizarre. There is the man in New Jersey whose ICD  
11 heated up when he walked through an acoustomagnetic gate and  
12 he got a skin burn. You check the ICD afterwards and it is  
13 fine. How could that happen? I have no idea. I can't  
14 explain that.

15           There is a woman in New York who, walking past an  
16 acoustomagnetic system, was carrying her bags and leaned up  
17 against the system to shift her bags and passed out. The  
18 shop manager doesn't want her to come back and be tested.  
19 She is not too excited about coming back and being tested,.  
20 So that would never get reported.

21           I tried to track that one down and no one was  
22 interested in formal testing. At the NASPE meetings, which  
23 is the trade organization for pacing and electrophysiology,  
24 there was a man in Canada, 28 years old, who was pacemaker-  
25 dependent who had true syncope walking through an EAS



1 system.

2           And when he fell down, he fell out of the magnetic  
3 field so he woke up. He stood up. He passed out. He stood  
4 up. He passed out--until a nurse came by and dragged him  
5 out. Now, for some reason, he is not interested in going  
6 back and being retested either.

7           There was a question earlier about are other  
8 countries looking at this. There is no MDR system for other  
9 countries but I can tell you that the FDA equivalent of  
10 England told me, when I was over there participating in a  
11 conference, that they had begun to get reports and that is  
12 why they were attending the conference that I was giving a  
13 talk at.

14           In Canada, there have been some reports as well of  
15 interactions and that is what spawned the Medical Device  
16 Bureau, I think they are called, the FDA equivalent, to do  
17 their own study. Their findings were reported this year.  
18 In fact, they now recommend that pacemaker-dependent  
19 patients not be within 33 centimeters of an acoustomagnetic  
20 system.

21           There are some cases, though, that have been  
22 pretty well documented. There is a lady in Phoenix who,  
23 whenever she walks through a gate, an acoustomagnetic gate,  
24 again, her heart speeds up to 160. She gets palpitations,  
25 dizziness, nausea, and so on. And when she walks out, she

1 is okay. She was monitored. In fact, she has an  
2 interaction that is symptomatic.

3 In Germany, there was an 18-year-old woman who was  
4 pacemaker-dependent who passed out. She wore a monitor  
5 through. She was willing to do it. She passed out again.  
6 I can't tell you any of the details of that case. That came  
7 to us through sort of the lay press.

8 In Chicago, I know of a man who is interesting  
9 because he had all the normal symptoms--dizziness,  
10 palpitations, dyspnea, shortness of breath, and so on. But he  
11 also got palpitations that stayed after he left the gates.  
12 I wasn't aware of that happening before. In fact, he was  
13 hospitalized for tachycardia and they couldn't figure out  
14 what his tachycardia was from until they realized that his  
15 pacemaker had somehow been reprogrammed.

16 Again, that is very hard to understand. I agree  
17 with the speaker this morning from the pacemaker association  
18 that says there is so much hand-shaking that goes on in  
19 digital coding that that is very hard to understand.

20 But it turns out that this particular kind of  
21 pacemaker, Telectronics pacemakers, uses the same frequency  
22 as an acoustomagnetic EAS system to measure how fast you  
23 breathe. You heard that mentioned. If you are in the field  
24 of a strong magnetic source at that same frequency, you get  
25 a DC offset in the aperture circuit of this rate-response

1 sensor. So, suddenly, you are reprogrammed to 150 or 160  
2 and you stay there until someone manually reprograms you.

3 So there are a few cases. My own particular  
4 experience came from a patient of ours who was in one of  
5 these aisle systems. He was chatting with the cashier. He  
6 had a defibrillator in. The magnetic field of the EAS gate  
7 fooled his defibrillator into thinking he was having a  
8 cardiac arrest so he got an inappropriate shock. So that  
9 was no fun for him.

10 We were able to take him back. As opposed to what  
11 was said this morning, we were able to take him back and  
12 reproduce those findings. We found that whenever he went in  
13 the field, he would start to sense this very fast heart rate  
14 that, in fact, wasn't there.

15 [Slide.]

16 That tells you how we got involved in this. We  
17 did the study of pacemakers and cardioverter triggering by  
18 electronic article surveillance devices which we call by the  
19 acronym "spiced tea." So if I say "spiced teas," I am  
20 talking about our study.

21 When we set this up, I recognized that I am a  
22 cardiologist, not an engineer. So I sought out engineering  
23 help. The first four coauthors here are from the Heart  
24 Institute of St. Petersburg, the doctors and nurses. D.  
25 Johnson is an engineer from PaceSetter, St. Jude's. The

1 next two, Jerry Becker and Mark Mayotte are engineers from  
2 Medtronic. Jon Casamento you heard this morning from the  
3 FDA.

4 I went to them first and said, "How should I do  
5 this study?" So we tried to get a broad base of engineering  
6 support from the very beginning. We also went to the EAS  
7 manufacturers and said, "Here is the protocol we are going  
8 to do. Does it seem like a fair test?"

9 I understand this committee has medical people and  
10 non-medical people so I wanted to spend a few seconds on  
11 just some of the basic issues of what is going on with  
12 pacemakers in the heart.

13 [Slide.]

14 What we are looking at is pacemaker EAS-system  
15 interaction. So before we can talk about those  
16 interactions, we have to talk about pacemaker function.  
17 Before we can do that, I want to spend just a second on  
18 heart function.

19 [Slide.]

20 Basically, the heart has two different kinds of  
21 chambers. The atria are the upper chambers. The ventricles  
22 are the main pumping chambers and they are on the bottom  
23 part of the heart. They are supposed to be coordinated in  
24 their beating; upper part, bottom part, upper part, bottom  
25 part, upper part, bottom part.

1 [Slide.]

2 The way the heart accomplishes that is through the  
3 electrical systems of the heart. There is the pacemaker you  
4 are born with called the SA node. Then there is this waylay  
5 station called the AV node, atrial-ventricular node, that  
6 slows down that electrical system so the atrium can finish  
7 beating before this does.

8 So, normally, you have this conduction system that  
9 goes from top to bottom. When you are looking at an EKG,  
10 when the top part depolarizes, you get what we call a p-  
11 wave. When the bottom part depolarizes, you get this big  
12 spike, a QRS complex. Then you get a reset.

13 [Slide.]

14 That is enough with heart function. What about  
15 pacemaker function. When you conducting normally, you don't  
16 need a pacemaker. But if your heart pauses, then, if you  
17 put a pacemaker in, it will see that pause and start beating  
18 for you. That is basically what a pacemaker does.

19 [Slide.]

20 So the pacemaker has to do two things. Here are  
21 the normal beats, as I have just shown you before. And  
22 then, if there is a pause, there will be a pacemaker spike  
23 and a pace beat. So the two functions of the pacemaker,  
24 then, are to sense the native heartbeat and to capture the  
25 heartbeat.

1 [Slide.]

2 So when you are sensing, the heart has a beat and  
3 the pacemaker says, "Yes; I saw it." The heart has a beat,  
4 and it says, "Yes; I saw it." Then, when there is a pause,  
5 the pacemaker will say, "I better put out a beat," and it  
6 goes down the wire and paces the heart. So those are the  
7 two functions of the pacemaker, pacing and sensing.

8 [Slide.]

9 So, with that background, one of the things I  
10 wanted to mention, too, is if you have muscles outside the  
11 pacemaker system moving, you can cause this noise on the  
12 EKG. This is a lot like what EAS systems do. For example,  
13 if you are shampooing your hair and using your shoulders, or  
14 gardening, then that signal can be interpreted as a  
15 heartbeat and the pacemaker thinks your heart is beating  
16 when, in fact, what is happening is your shoulder muscles  
17 are moving.

18 [Slide.]

19 So enough with pacemakers and enough with hearts.  
20 What about ICDs. That is what got me into this. It turns  
21 out, in our study, we could not make defibrillators  
22 misbehave. That was a very surprising thing. We thought it  
23 would be easier to make a defibrillator misbehave than it  
24 would be a pacemaker. But, in fact, for whatever reason, we  
25 couldn't.

1 [Slide.]

2 But I would, in addition to the two published  
3 reports you heard about this morning, give you this patient  
4 report. Here is an electrocardiogram recorded by a  
5 defibrillator in a patient. You see there are normal  
6 heartbeats here. And then the patient comes up to an  
7 acoustomagnetic gate. You can see the pulsed noise of the  
8 acoustomagnetic gate.

9 But you can also see inside there are normal  
10 heartbeats. So he is still having normal heartbeats all  
11 through this noise, but the defibrillator thought this was a  
12 cardiac arrest and the square symbol here tells us that he  
13 got an electric shock there.

14 His defibrillator thought he was having a cardiac  
15 arrest and so it charged up and fired and shocked him,  
16 which, again, is like getting hit with a baseball bat--not  
17 lethal, but unpleasant.

18 [Slide.]

19 Let's talk about EAS systems a little bit. You  
20 have heard about the different kinds. It helped me a lot  
21 when Sensormatic taught me about what kinds of EAS systems  
22 there were to realize that there are transmitter gates and  
23 then they are designed to interact with a tag, and the tag  
24 puts out its own signal for the receiver.

25 You have heard about the three kinds of gates, the

1 magnetic audio frequency or VLF or extremely low frequency,  
2 or ELF systems. Here you put out a signal. What the tag  
3 does is put out a harmonic. So if this is 300 hertz, then  
4 this will be 600 hertz. So the receiver is looking for a  
5 600-hertz tag and, if it sees that, the alarm goes off  
6 because you are shoplifting something.

7 [Slide.]

8 As opposed to that, the swept radiofrequency tag  
9 is different. What it does is when the signal goes through,  
10 it causes a phase shift. You can see that the top of this  
11 wave is out of sync with the others. So when you see a  
12 phase shift in the receiver, you would go off.

13 [Slide.]

14 An acoustomagnetic has a different approach using  
15 pulsed powerful focused magnetic signals that interact with  
16 the tag and the tag resonates like a tuning fork. So the  
17 receiver is looking for a very specific signal.

18 [Slide.]

19 This is data from Jon Casamento measuring the  
20 magnetic field. You saw this this morning. Some of these  
21 systems are designed to have peak magnetic fields at the  
22 chest level or at the waist level where you are going to put  
23 your shoplifted articles.

24 [Slide.]

25 Here is an acoustomagnetic system showing about



1 the same thing, peak magnetic fields in the chest-waist  
2 area.

3 [Slide.]

4 But look at the swept radiofrequency. You don't  
5 see anything. It looks like a blue carpet on the floor  
6 here. There is no magnetic field of anything to speak of.

7 [Slide.]

8 So how do these two interact? That has to do with  
9 the idea of environmental magnetic interference. If a  
10 pacemaker sees a signal come in, it may interpret it as a  
11 heartbeat but, if it is going at 58 kilohertz or 300 hertz,  
12 it knows the heart is not going 300 times a second. So the  
13 pacemaker gets confused, as you have heard, and what it does  
14 is it decides, "Well, I don't know what is going on, but I  
15 am going to just pace until the rain stops."

16 [Slide.]

17 Another interaction is this noise, like the  
18 myopotential sensing although, in this case, it is the EAS  
19 field. Although there is nothing happening, the pacemaker  
20 thinks there is so it turns off and there will be a pause.

21 [Slide.]

22 This is another cartoon showing that every time  
23 the signal comes through, the pacemaker thinks that there is  
24 a ventricular beat.

25 [Slide.]

1           With atrial oversensing, there are two wires. We  
2 would like this to beat first and then this to beat. So,  
3 normally, what happens is if you have the top part of your  
4 heart beat, that signal will go up that top wire and tell  
5 the pacemaker, "Yes; I saw a beat in the top part of the  
6 heart." Then the pacemaker looks at the bottom part and, if  
7 nothing is happening, puts out a pacemaker spike.

8           So, with atrial oversensing, if you have a very  
9 frequent field coming in, it will be sensed by that upper  
10 wire and then you will get a paced beat. So what you get  
11 then is very fast heartbeats down here because you are  
12 trying to track that fast EAS signal up here. We call that  
13 EAS-induced tachycardia in our study.

14           EAS-induced pacing is when a strong field comes in  
15 and directly causes a voltage in the wire. So if you have a  
16 strong field coming in, you can directly induce paced beats  
17 even if you are not connected to a can up here.

18           I would like to take credit for being the first  
19 one to report that, but it is not true. It was reported by  
20 Lucas before us.

21           [Slide.]

22           So the four kinds of pacemaker interactions,  
23 asynchronous pacing where the sensing is turned off because  
24 the pacemaker doesn't know what is going on, ventricular  
25 oversensing which gives you pauses, EAS-induced tachycardia

1 from trying to track that fast rate and EAS-induced pacing  
2 where you get extra beats.

3 [Slide.]

4 So what we did in our study was first have  
5 patients walk through. Now, we didn't think that was a  
6 rigorous enough test because when you are measuring an  
7 electric field, you do that with a loop. If the loop is  
8 parallel to the system, it will see nothing. But if it is  
9 perpendicular, it sees a lot.

10 So if you look at this map of the magnetic flux in  
11 an EAS system, there is really nothing going on in the  
12 middle so you would see nothing there. If you were over  
13 here, you would get a maximum signal if your pacemaker was  
14 this way but, if you were standing in front, you would get a  
15 maximum signal if your pacemaker was oriented this way.

16 So, the orientation is important. In our  
17 protocol B, we had patients rotate over two minutes and then  
18 go through. Then we moved them 50 percent closer. Then we  
19 had the famous hugging, intimate, response between patient  
20 and pacemaker.

21 [Slide.]

22 These are the EAS gates we tested. I guess I can  
23 skip through that for time.

24 [Slide.]

25 These are the measurements we made. I don't think

1 you care about that, either.

2 [Slide.]

3 These are the magnetic-field measurements we made.  
4 The only thing I would point out here is there is order of  
5 magnitude, or two orders of magnitude, difference between  
6 the magnetic flux of the acoustomagnetic systems and the  
7 others.

8 [Slide.]

9 These are the pacemakers we tested. Suffice it to  
10 say, we tested all the different manufacturers. This is  
11 where the rubber meets the road. Everybody has already  
12 heard from this morning that swept radiofrequencies did not  
13 interact with any pacemakers. A few, 4 percent, of  
14 pacemakers interact with one of the magnetic  
15 audiofrequencies but 96 percent interact with  
16 acoustomagnetic.

17 [Slide.]

18 This is an example of asynchronous pacing. Here,  
19 you see the top chamber of the heart and the bottom chamber  
20 are coordinated. Over here, you see they are not  
21 coordinated. Here is the top chamber. Nothing happened  
22 because the pacemaker is not looking anymore. It is just  
23 pacing until the rain goes away.

24 [Slide.]

25 Ventricular oversensing; here are some pauses.

1 The pacemaker thinks that the heart is beating and it is  
2 really not.

3 [Slide.]

4 Here is the case of some extra beats. We don't  
5 know if those are asynchronous pacing or EAS-induced pacing  
6 but we know for sure that these are EAS-induced pacing  
7 because, look, there are two paced beats close together in a  
8 VII pacemaker.

9 [Slide.]

10 Here is a patient that exhibits a number of those.  
11 I see I am running out of time, so I am going to keep moving  
12 here.

13 [Slide.]

14 Why acoustomagnetic? I think there are three  
15 reasons; the operating frequency, the pulse transmission and  
16 the high EAS-induced voltage.

17 [Slide.]

18 This is Faraday's equation which I don't pretend  
19 to understand but it tells me that the voltage that an  
20 interfering source is going to give you. It depends on the  
21 frequency of operation and the field intensity.

22 [Slide.]

23 There seems to be an order of magnitude, again,  
24 difference between what acoustomagnetic can do and what the  
25 others can do.

1 [Slide.]

2 The Europeans had this norm, European norm  
3 56001/A1. If you want to sell a pacemaker in Europe, you  
4 have got to recognize that there is going to be some  
5 interference. So, if you are down here, your pacemaker has  
6 to operate normally. If you are in there, then you have a  
7 defined operation like asynchronous pacing.

8 Up here, it is undefined. When you look at the  
9 different EAS systems; swept radiofrequency, of course, they  
10 didn't interact. Magnetic audiofrequency, well, they are  
11 going to interact sometimes. Unfortunately, acoustomagnetic  
12 is outside that undefined range.

13 [Slide.]

14 So, has anyone done anything wrong? No; I don't  
15 think so. But when you are looking for a 10 millivolt  
16 signal, if you have a swept radiofrequency system putting  
17 out a 114 millivolt signal, there is no problem. But if you  
18 are putting out--what you can do is filter out the trees  
19 from the forest. You can still see them. But if you are  
20 putting out a 3,000 millivolt signal, it is hard, especially  
21 if it is pulsed, to see the trees for the forest.

22 MR. FLETCHER: I am going to have to stop you  
23 here.

24 DR. McIVOR: Okay.

25 MR. FLETCHER: Thank you very much.

1 DR. McIVOR: Thanks for your time.

2 MR. FLETCHER: Our next speaker will be Dr.  
3 Harthorne. We made a switch in the schedule.

4 Once again, please be reminded of the request of  
5 the committee that all disclosures and potential conflicts  
6 of interest be revealed to the board.

7 DR. HARTHORNE: Thank you very much. Good  
8 afternoon.

9 I'm Warren Harthorne. I'm from Boston,  
10 Massachusetts. I'm director of Pacemakers Services at the  
11 Massachusetts General Hospital, and I'm a member of the  
12 faculty at Harvard Medical School where I have been  
13 associated for 36 years. And my involvement in cardiac  
14 pacing themes dates back to the early 1960s, at the very  
15 beginning of my training, and as evidenced by the authorship  
16 of over 100 manuscripts and books on that particular topic.

17 I was a founder and first president of a  
18 professional organization that is known as NASPE, the North  
19 American Society of Pacing and Electrophysiology, which is  
20 the preeminent physical profile group dealing with these  
21 issues. And I have a clinical practice that follows several  
22 thousand patients with cardiac devices. The NASPE  
23 organization is not a trade organization. It's a tax-exempt  
24 educational society.

25 The interest that I acquired in possible

1 interactions between electronic article surveillance systems  
2 and pacemaker devices arose through a separate consulting  
3 role that I served for an organization called the American  
4 Medical-Legal Foundation of Rittenhouse Square in  
5 Philadelphia, and this organization reviews hospitals which  
6 have lost their Medicare accreditation or which are simply  
7 trying to improve the quality of care which they provide.  
8 And in that capacity, I perform in-depth reviews of several  
9 hundred medical records of patients who receive cardiac  
10 pacemaker devices.

11           Through that affiliation, I was asked to perform a  
12 review of a rather cumbersome and lengthy document that is  
13 by the Georgia Tech Institute, and it's in that direction  
14 that I come to you today. As far as compensation is  
15 concerned, as a consultant I do receive compensation that  
16 varies somewhat according to the complexity of what I'm  
17 consulting on, and it generally ranges from \$200 to \$300 per  
18 hour of work. I don't have any financial interest in the  
19 topic at hand today. I don't own stock in any company  
20 involved in this. And on the one hand, my interest is  
21 piqued by the technical aspects of this topic, but also,  
22 being a clinical cardiologist with a large number of  
23 patients who rely on me for judgment and wisdom about their  
24 life-styles, I feel I have a responsibility to ensure that  
25 they are properly informed.



1           This is a particularly serious concern as a  
2 physician. We find ourselves facing this issue all the  
3 time, not just with pacemakers and defibrillators, but heart  
4 valves and other prosthetic devices and medication. And  
5 it's imperative that we physicians do our best to help  
6 patients lead normal lives and protect them from anxiety-  
7 provoking, capricious reports of device interference which  
8 are largely theoretical and of little practical concern.

9           It's not really my responsibility to do this, but  
10 I would like to just say a few remarks about the two  
11 speakers who follow me. There were some rather irritating  
12 remarks made this morning concerning compensation as far as  
13 consulting is concerned. Drs. Parsonnet and Zipes are  
14 amongst the world's most revered academicians in the field  
15 of cardiology. Dr. Parsonnet essentially introduced cardiac  
16 pacing to this country. Dr. Zipes equally well basically  
17 introduced defibrillator therapy, and not just to this  
18 country but to the world. And to infer that these gentlemen  
19 are hired guns I think does a disservice.

20           So a pacemaker device which we're talking about  
21 today is basically an electric stimulator. There's a  
22 defibrillator and a pacemaker with the appropriate leads  
23 attached to the heart and to the device. These devices  
24 offer quadrillions of programmable options. Once the device  
25 is implanted, we have the option of fine-tuning the system

1 to suit that individual patient. The majority of devices in  
2 use today are dual-chamber and sequentially stimulate the  
3 top chamber and the bottom chamber.

4           The stimulation of the heart chamber is modified  
5 by circuitry which detects spontaneous, normally occurring  
6 heartbeats through use of a sensing amplifier. The sensing  
7 amplifier is tuned to detect signals that reflect those seen  
8 in the atrium and in the ventricles of the normal heart and  
9 to reject, where possible, environmental signals that  
10 surround all of us, such as radio waves, garage door  
11 openers, TV channel controllers. And a problem may arise  
12 when the environmental signals overlap the frequency of  
13 those signals from the heart, such devices as hair dryers,  
14 electric razors, dental cleaning devices, depilation  
15 equipment used in hair removal, and certain types of audio  
16 equipment.

17           Today's conference relates to the possibility of  
18 interference by electronic article surveillance systems, and  
19 we've heard of the million or so people living in this  
20 country with cardiac pacemakers and the nearly million  
21 installations of these systems in stores. So the exposure  
22 must be uncountable in terms of daily interactions of  
23 patients with devices and these types of systems.

24           The handful of case reports to the medical  
25 literature I believe attests to the relatively infrequent

1 occurrence of any problems. Most patients walk through  
2 these gates in a normal walking pace that takes the time  
3 perhaps of two heartbeats.

4           The George Tech report which I reviewed showed  
5 that only minor transient alterations from baseline  
6 function, which are clinically irrelevant and have no  
7 implications as far as patient health, were observed. In  
8 those situations, the devices, which were suspended in  
9 saline tanks, had to be in a very narrow range of proximity  
10 and a very special orientation toward the emitting pylons.  
11 There was no instance of pacemaker reprogramming, and I  
12 don't think there's any such instance that's ever been  
13 documented.

14           The variations in function of which the George  
15 Tech scientists found included brief, momentary, upper rate  
16 response. The vast majority of pacemaker devices are  
17 programs to an upper rate of 120 to 125. So this infers a  
18 premature beat. Now, as I stand here, I calmly have  
19 premature beats, and I expect many of you around the table  
20 are also having premature beats. They occur in the normal  
21 population of people, including those with pacemakers, and  
22 they may be induced by the EAS system, but are of no  
23 clinical relevance whatsoever.

24           The inhibition of pacemaker devices has been  
25 alluded to. We'll talk more about that in a minute. This

1 is when the sense amplifier in the lower chamber of the  
2 pacemaker detects a signal and may cause the device to skip  
3 one or two heartbeats. For there to be an extended period  
4 of suppression of pacemaker stimulation, the patient must  
5 remain standing in a precise orientation relative to the EAS  
6 source and for a specific period of time. And it's  
7 unrealistic to think that most individuals would do this,  
8 particularly if any symptoms of dizziness arose.

9           Finally, the occurrence of asynchronous or fixed-  
10 rate pacing has been alluded to. This occurs when the  
11 device detects noise of any type, whether it's  
12 electrocautery in the hands of a surgeon, the EAS system of  
13 a store; and the device says I don't know if there's a  
14 heartbeat or if this is extraneous noise, so I'm going to  
15 pace in a fixed-rate mode. And that will cause competition  
16 with the native heartbeat. This feature is essentially  
17 clinical follow-up, and when we do our follow-up clinic  
18 visits, we intentionally induce asynchronous pacing to  
19 confirm that there is depolarization of both chambers of the  
20 heart. It is the fixed magnetic rate of the pacemaker which  
21 is the end-of-life indicator of battery function.

22           And I might digress for a moment to mention to you  
23 one of the major follow-up methods in use, and that's the  
24 use of a telephone monitor in which the wearer of the device  
25 attaches wrist electrodes, and the device converts the

1 electrocardiogram to an audible sound. The telephone is  
2 placed in the cradle of this device, and the  
3 electrocardiogram is transmitted around the world. I have a  
4 patient who lives on the beach in Micronesia who transmits  
5 his electrocardiogram to New York City and it is then faxed  
6 to my office.

7           This intervention involves the patient taking this  
8 magnet--it's a 90-gauss magnet--placing it over the  
9 pacemaker device during the transmission to convert the  
10 pacemaker to an asynchronous or fixed-rate mode. That is a  
11 legal requirement by Medicare for reimbursement of the  
12 monitoring procedure.

13           The major company involved in this type of  
14 monitoring is one in New York. There are multiple different  
15 companies. That particular company monitors 100,000  
16 patients and told me yesterday that they have performed six  
17 million telephone transmissions with a magnet held in place  
18 over the pacemaker device for 30 seconds. Can somebody show  
19 that? We have a projectionist.

20           [Slide.]

21           Just to show you what this looks like on the  
22 electrocardiogram, this first slide is taken from a report  
23 from a patient who lives in Hollywood. The name is deleted,  
24 but many of you would know him as Spartacus. He shows in  
25 the upper panel a normal sinus rhythm; in the bottom panel,

1 the rhythm is still normal sinus, but the pacemaker device  
2 has been activated by the application of a magnet. But this  
3 is RONT(?) pacing. He does this every six months. We check  
4 his pacemaker function. It's working normally.

5 If you'll just put the other one up, please?

6 [Slide.]

7 The other panel here is a patient who is less  
8 interesting socially, but she has a dual-chamber pacemaker,  
9 and the magnet is applied in the bottom panel. The patient  
10 has her own intrinsic rhythm, probably atrial flutter  
11 fibrillation. You'll see dual stimuli on the bottom panel,  
12 and note the close proximity of those dual stimuli when the  
13 magnet is in place, and then about the fifth, sixth, or  
14 seventh panel, the stimuli are farther apart. That's non-  
15 physiologic AV delay induced by the use of a magnet. It is  
16 a normal phenomenon in dual-chamber pacemakers and should  
17 not be construed, as has been suggested in the preceding  
18 report, to be an anomaly due to EAS exposure.

19 Can we have the slide off, please?

20 So every forum, every office clinical evaluation--  
21 before I came down here from Boston yesterday, I saw a dozen  
22 patients in the office. Every single one of them had a  
23 magnet put in place, had a computer programmer put over the  
24 pacemaker device to read out the intrinsic finding. At the  
25 present time, as I mentioned, it's estimated there are

1 approximately 200,000 patients undergoing telephone  
2 telemetry through commercial firms six to twelve times  
3 yearly. So I do not have any concerns about asynchronous  
4 fixed-rate pacing. And I believe this has relevance to the  
5 conference today.

6           The study that Dr. McIvor has shared with us is of  
7 interest, and it focuses our attention on those features of  
8 pacemaker device function that we should understand and  
9 interpret to our patients. It's soon to be published in the  
10 journal Pace. He studied 50 patients with pacemakers and 25  
11 with defibrillators exposed to these types of systems of  
12 varying design. The defibrillators got off scot-free, and  
13 Dr. Zipes will tell you about his study of that same topic.

14           Of the patients with pacemakers exposed to the  
15 acoustomagnetic type of surveillance, four types of response  
16 were identified, three of which to this observer are of no  
17 clinical relevance whatsoever. They included suppression of  
18 single-pace beats, transient pacing of the upper tracking  
19 rate, and reversion to the design backup mode. As for the  
20 fourth observed effect, three patients were reported to show  
21 symptomatic inhibition of pacemaker function while standing  
22 in a static position with a specific orientation to the  
23 emission pylons. The problem with those observations is  
24 that at least the illustration submitted with that  
25 manuscript demonstrate a perfectly stable underlying rhythm

1 in those patients. So one wonders if the symptoms were the  
2 product of suggestibility.

3 In conclusion, ladies and gentlemen, the paucity  
4 of case reports in the literature in the last ten years of  
5 symptomatic interference with medical device function  
6 suggests the lack of a significant occurrence. Similarly,  
7 the tens of thousands of Holter monitor rhythm recordings  
8 that are done each year in patients with defibrillators and  
9 pacemakers in place refute concerns of dangerous alteration  
10 of those devices by electronic article surveillance systems.  
11 In over 30 years of experience of treating thousands of  
12 pacemaker recipients, I am not aware of any patient who has  
13 been harmed or injured by these devices. Irreparable harm  
14 to consumer and pacemaker patient anxiety and confidence  
15 levels can result, however, from irresponsible allegations  
16 of potential harm that have proved to be non-existent over  
17 many years of pacemaker follow-up. These patients are  
18 elderly, unsophisticated, and easily alarmed over news  
19 articles, which tend to be inflammatory and sensational.

20 I thank you very much.

21 MR. FLETCHER: Thank you.

22 Our next presenter will be Dr. Zipes.

23 [Slide.]

24 DR. ZIPES: Good afternoon, ladies and gentlemen.  
25 My name is Douglas Zipes. I am the Distinguished Professor



1 of Medicine at Indiana University and Chair of the Division  
2 of Cardiology and of the Krannert Institute of Cardiology.  
3 I, too, am a past president of NASPE.

4 I am a consultant for Sensormatic and am paid to  
5 do work for them, as I am a consultant and paid to do work  
6 for other pharmaceutical and device companies.

7 My background is in heart rhythm problems for  
8 almost 30 years. I have published approximately 600  
9 articles that deal with heart rhythm problems, pacemakers,  
10 and defibrillators, and 11 books. I am the editor-in-chief  
11 of three journals, one of which is an electrophysiology  
12 journal, and I have been fortunate to receive the  
13 Distinguished Scientist Award from both NASPE and the  
14 American College of Cardiology.

15 Sensormatic has asked me to perform a study of my  
16 design to evaluate the interaction between EAS systems and  
17 implantable cardioverter defibrillators, and I'd like to  
18 share the data from that study with you as it exists today.

19 This is a trial that is the evaluation of  
20 potential interactions between implantable cardioverter  
21 defibrillators that have pacemaker capabilities as well  
22 built in and the electronic article surveillance systems.

23 [Slide.]

24 The study is ongoing and aims to evaluate this  
25 potential interaction between the ICDs--the defibrillators--

1 with their pacing capabilities and EAS systems. We plan to  
2 study a minimum of 200 patients, and we're using all  
3 clinically available ICDs and sensing circuits. We're  
4 studying both routine exposure and extreme exposure, which  
5 I'll define for you, to two electromagnetic and one  
6 acoustomagnetic EAS system.

7           Individuals are recruited from the defibrillator  
8 clinics at the Krannert Institute of Cardiology at Indiana  
9 University and at Methodist Hospital, with which we are  
10 aligned. All patients gave informed consent, and the  
11 institution approved this study.

12           The ECG of the patients is continuously monitored  
13 and an external defibrillator is present. The therapy for  
14 the ICD is inactivated by the programmer before the patient  
15 is tested. Thus, we can monitor whether the device saw an  
16 abnormal heart rhythm and might have responded to it, but  
17 since we've inactivated the therapy, the patient's at no  
18 risk and would not receive any therapy from the ICD. And we  
19 don't alter the sensing or slow heart rate pacing therapies.

20           [Slide.]

21           The EAS system exposure is as follows: Routine  
22 exposure is a 10- to 15-second walk--it's a very slow  
23 stroll--through the middle of the gates, a very slow  
24 shuffled. As a matter of fact, one elderly individual using  
25 a walker could walk through the gate in easily less than 10

1 seconds. So the 10 to 15 seconds is an extreme, which I  
2 don't think one would encounter in daily exposure.

3           However, we tested two extreme functions as well.  
4 One is a 2-minute exposure, approximately 0 to 6 inches from  
5 the gate transmitted, and the patient basically strolled,  
6 turned around, and spent 2 minutes within the transmitter.  
7 And the defibrillator was at the clinical sensitivity that  
8 it was set for that particular patient.

9           In addition, after doing this, we then did what we  
10 call extreme-plus pacing, which is, again, the 2-minute  
11 exposure with the ICD at the clinical sensitivity or the  
12 maximal sensitivity that did not produce T-wave oversensing.  
13 For the non-physicians, it's the highest sensitivity that we  
14 can set in the pacemaker while still having it function  
15 normally. And then we programmed the device to pace at 20  
16 beats above the patient's intrinsic heart rate, and it  
17 either paced the ventricle or dual chamber, depending upon  
18 the function of the device.

19           With the ICD and the pacemaker, we found no alter-  
20 -or we did not alteration in the pacemaker programming for  
21 the individual patient. We interrogated the ICD after the  
22 patient walked through each gate pass for any sensed events.  
23 We had an ECG strip of each gate exposure, and the analysis  
24 was the observation of any potential alteration in the  
25 normal function of the ICD.

1 [Slide.]

2 Now, these are the results of 102 patients who had  
3 been subjected to that type of testing so far. During the  
4 routine testing--and I remind you that's a 10- to 15-second  
5 pass--there were no changes from baseline. ICD  
6 interrogation showed no spurious events or reprogramming at  
7 all.

8 During the extreme exposure--this is 2 minutes,  
9 now, within the gates--two devices showed VF, ventricular  
10 fibrillation, detection. That means that device would have  
11 detected what it thought to be ventricular fibrillation or  
12 sudden death, and would have delivered a shock in response  
13 to that. But since we had deactivated the therapy,  
14 obviously the patient did not receive any shock. Otherwise,  
15 there was no change from baseline, and there was no device  
16 reprogramming at all. When the patient left the exposure  
17 area, the device returned to absolutely normal function, as  
18 we would expect and as has been everyone's experience--  
19 almost everyone's experience, I guess.

20 The extreme-plus pacing--now, this is the patient  
21 who has pacing activated, and this is, again, 2 minutes in  
22 the testing chamber--19 devices showed one to two beats of  
23 pacing inhibition. That means your heart rate went from 72  
24 to 70. That's basically what it means.

25 ICDs with VF detection in the extreme exposure

1 showed complete pacing inhibition. What that means is that  
2 the device, if it senses ventricular fibrillation to be  
3 present, turns off its pacing, as it is built to do, because  
4 it does not want to trick itself into thinking that there  
5 are beats happening--that is, the pacing stimuli--and,  
6 therefore, not to deliver a shock. So this device  
7 functioned absolutely normally, as it is built to do by the  
8 engineers. And, again, there was no device reprogramming at  
9 all.

10 [Slide.]

11 One hundred two patients walked through this  
12 testing system; 99 patients had no relevant interactions  
13 whatsoever, and the relevant interactions occurred only in  
14 the extreme exposure of 2 minutes within the testing  
15 chamber, as I indicated. Three patients had the relevant  
16 interactions. A patient with a Medtronic defibrillator, a  
17 7219, and possibly very important, this patient--the pulse  
18 generator was in the abdomen. Now, we don't do that anymore  
19 today. This is an old type method of implantation, and it  
20 could be that's where the main sensing area is when the  
21 patient walks through the device, the EAS system. In  
22 addition, it produces a much larger antenna between the lead  
23 and the pulse generator, which certainly could account for  
24 spurious sensing.

25 The second device was a CPI device which has VF

1 detection, spurious VF detection, and also had an abdominal  
2 implant.

3 The third device, which was a Ventritex device,  
4 that showed total inhibition of pacing, also had an  
5 abdominal implant.

6 Now, I stress each of these patients had an  
7 abdominal implant and were exposed to 2 minutes within the  
8 EAS system. Those are the only relevant exposure problems  
9 that we saw.

10 [Slide.]

11 This summarizes the data on the devices and the  
12 numbers of patients who went through. The CPI device,  
13 virtually all of their devices, this shows the number of  
14 patients with an individual device who were tested. Zero  
15 were affected during routine exposure. Zero. One had VF  
16 detection, as I indicated, during the 2-minute exposure time  
17 period.

18 [Slide.]

19 This slide summarizes the Medtronic devices that  
20 were tested. Virtually all of the Medtronic devices  
21 available, showing the number of patients with the devices.  
22 Zero had any abnormalities during routine exposure. The  
23 relevant interaction was the one VF patient that I told you  
24 about earlier with the abdominal implant.

25 [Slide.]

1           This slide shows the few patients with  
2 Intermedics, Telectronics, and Ventritex. Zero were  
3 affected during routine exposure. One had pacing inhibition  
4 with the abdominal implant that I indicated to you earlier.

5           [Slide.]

6           So, in summary, in this study of ICDs and EAS  
7 systems interaction, there have been no relevant  
8 interactions noted during any routine exposure. Rare  
9 tachyrythmia detection associated with extreme exposure,  
10 that is, the ventricular fibrillation detection during the  
11 extreme exposure, and this was with older ICDs and just with  
12 the abdominal implants. They were more common but minor,  
13 not clinically relevant, one or two beat per minute change,  
14 pacing inhibition; but, again, only with the 2-minute  
15 exposure, and there was no device reprogramming whatsoever.

16           So from these data--and the study is not yet  
17 completed--I would absolutely agree with Dr. Harthorne that  
18 these devices are quite safe as built to be used with the  
19 patient walking through the device, not leaning, not  
20 loitering. Those things are fine. But I see no problems  
21 whatsoever with normal exposure of patients who have ICDs to  
22 these EAS systems.

23           Thank you.

24           MR. FLETCHER: Thank you.

25           Our final presenter during the public hearing

1 portion is Dr. Parsonnet.

2 [Slide.]

3 DR. PARSONNET: Good afternoon, ladies and  
4 gentlemen, and thank you for the opportunity to present some  
5 material to you. I am director of the Pacemaker Center at  
6 the Newark Beth Israel Medical Center, director of Surgical  
7 Research, and past director of surgery at that institution  
8 for 27 years, co-founder of North American Pacing Society, a  
9 special organization about which Dr. Harthorne made such  
10 flattering comments about me before.

11 I implanted the first pacemaker outside of Boston  
12 and Buffalo, the third pacemaker in the country, in 1961. I  
13 pioneered the development of the nuclear pacemaker developed  
14 by the Atomic Energy Commission and the Nuclear Regulatory  
15 Commission. I've been a consultant to the government in  
16 many capacities on pacing issues. I have run a pacemaker  
17 center, the first one in the country, which evaluates  
18 pacemaker and defibrillator patients. We follow 1,500  
19 patients a year according to Medicare-prescribed guidelines.  
20 My professional practice is a private practitioner. I'm a  
21 cardiac surgeon. I no longer do coronary bypasses, although  
22 I did the first ones in New Jersey. I did the first heart  
23 transplant in New Jersey. So I've been around.

24 Because I'm in private practice, I have an  
25 independent income, and I was asked to be a consultant to



1 Sensormatic, which I agreed to do, but I came here today  
2 because I feel this is an issue of concern to my patients  
3 and what your deliberations will have you do and what they  
4 will hear from you and what they will hear in the future. I  
5 am not on a salary from them. They have reimbursed me for  
6 my expenses here.

7 I have one other role in life. I'm the board  
8 chairman of the Jersey Symphony Orchestra, and I met an \$11  
9 million budget this year. I had to raise a lot of it. And  
10 so Sensormatic knows my interest, and they made a voluntary  
11 contribution to them. And if they make another one, that  
12 would be ducky.

13 [Laughter.]

14 DR. PARSONNET: But I didn't ask.

15 So to go on with the subject, let me have the next  
16 slide please.

17 [Slide.]

18 You may have copies of what I have written, but I  
19 would like to take a sidestep from it because much of it has  
20 already been said. And I'll emphasize a few things from my  
21 clinical experience and some research experience and my  
22 clinical feelings about things.

23 To start off, let's look at the worst case. What  
24 could happen if there were life-threatening arrhythmias,  
25 damage to the pulse generator, phantom reprogramming,

1 intermittent pauses and extrasystoles, and could deaths  
2 occur.

3 [Slide.]

4 Let's talk about the life-threatening arrhythmias  
5 first. Dr. Harthorne talked about the application of a  
6 magnet over the pacemaker. Now, the magnet reverts the  
7 pacemaker to a fixed rate, which means it fires regardless  
8 of what the heart does. The magnet reverts it to something  
9 like the noise mode or VOO fixed-rate pacing. Now, that  
10 produces competition with the naturally occurring beats, and  
11 some of those competitive electrical stimuli fall in what's  
12 called the vulnerable period of the electrocardiographic  
13 cycle, and I think Mitchell Shein talked about that this  
14 morning.

15 Now, when that happens, the vulnerable period, if  
16 it is stimulated intensely with a strong stimulus in the  
17 laboratory, you can produce extra beats or runs of beats or  
18 ventricular fibrillation. And I want to point out that from  
19 my laboratory experience, which I did years ago when I was  
20 developing, helping develop defibrillators and we were  
21 trying to potentially produce ventricular fibrillation, that  
22 in order to produce a stimulus that would be strong enough  
23 to produce ventricular fibrillation, we had to go to  
24 something like 40 volts applied directly to the heart.

25 Now, pacemaker output is about 5 volts, and you

1 can, as Dr. Harthorne mentioned, alter this sensitivity to a  
2 stimulus by making a patient--the heart sick by producing a  
3 heart attack, tying off a coronary artery, for example, or  
4 by very significantly altering electrolyte balance by  
5 infusing acids into the bloodstream. But even so, it takes  
6 a lot more stimulus than a pacemaker output to produce a  
7 lethal arrhythmia.

8           We have more clinical experience to support that,  
9 and that is, in the implantation of a defibrillator, we want  
10 to intentionally induce ventricular fibrillation so that the  
11 defibrillator that's being implanted can be tested before we  
12 leave the operating room. In order to produce ventricular  
13 fibrillation, we have to stimulate the heart in a vulnerable  
14 period--that's one way to do it--with something like 200 to  
15 300 volts, hundreds of times more energy than a pacemaker  
16 produces.

17           So when we talk about the dangers of fibrillation  
18 by stimulation in the vulnerable period, we're really  
19 talking about almost non-existent danger because the stimuli  
20 we use have to be so far in excess of what a pacemaker  
21 produces as to be not even logical.

22           My clinical experience has one other issue. Since  
23 I began putting in pacemakers, the first four or five years,  
24 the only pacemakers we had were fixed-rate pacemakers.  
25 There was no such thing as a demand pacemaker or a pacemaker

1 that sensed the activity of the heart when turned off. All  
2 of the pacemakers were fixed-rate. I put in hundreds of  
3 those. In addition, we put in 19 nuclear pacemakers that  
4 had a half-life, a battery half-life, of 86 years. We still  
5 have 15 or so of those running.

6 Those devices constantly during the course of the  
7 year pace on the apex of the T-wave with a vulnerable  
8 period, and we have never seen any events that would be  
9 lethal.

10 [Slide.]

11 So we can summarize that by saying that  
12 stimulation is unlikely to produce arrhythmias. The most  
13 stimulation will do will produce pauses, and as Dr.  
14 Harthorne also pointed out, all of us, including me, are  
15 having these extra beats all day long with no consequence.

16 [Slide.]

17 Damage to pulse generator has never occurred. We  
18 have never seen it. It's been years and years since we've  
19 seen a pacemaker suddenly stop. In the early days, when  
20 everything was new and the pacemakers were not implanted in  
21 hermetic seals, we occasionally had pacemakers stop, but  
22 nothing happened to the patient. They would return to their  
23 status quo ante.

24 Phantom reprogramming, we found occasional cases  
25 years ago. We have not seen any recently. Those we have

1 seen tend to be something done by another doctor in another  
2 office and who hasn't told us that the pacemaker was  
3 reprogrammed and then we find it when we see the patient in  
4 the clinic.

5 [Slide.]

6 Now, how about death? This is something that I  
7 want to mention because we haven't heard this before today.  
8 Pacemakers are implanted in most patients for something  
9 called sick sinus syndrome. That's a slow heart rate which  
10 has pauses, which may produce lightheadedness and  
11 occasionally fainting. It may have runs of fast beats, but  
12 it's a living situation. Sixty percent of the patients have  
13 that. Now, I run a survey of national pacing every four  
14 years, which is published every four years, and we know that  
15 about 60 percent of patients have these. And so if the  
16 pacemakers just stop abruptly, nothing would happen. The  
17 patient's heart would go back to the status quo ante. Now,  
18 this is hypothetical. The pacemaker is not going to stop  
19 suddenly, but I'm presenting to you a worst case.

20 You asked a question about default mode. If the  
21 default mode got stuck and the default mode was no output,  
22 nothing would happen to these patients, certainly.

23 Now, how about those who have complete heart  
24 block, heart rates are very slow? They only amount to about  
25 5 percent of the patients. They're very rare, and those

1 patients, if the device failed suddenly, may have a fainting  
2 episode, but they'll survive it. We don't see or hear of  
3 sudden deaths from pacemaker failure.

4 [Slide.]

5 Just a brief comment about other forms of AMF. We  
6 have heard about cellular phones and microwave ovens and  
7 radar fields. I just want to remind you that the only  
8 trouble that I tended to hear about the weapons detectors in  
9 airports, before people understood what this is all about,  
10 when a pacemaker patient went through the weapons detector,  
11 somebody who has detected the foreign metal, instead of  
12 asking do they have a pacemaker, because they hadn't heard  
13 of them yet, tried to pull it out or grab it to see what was  
14 in there. So we had patients complaining about people  
15 grabbing them to find out what that hunk of metal was. But  
16 we've never seen any problem from the weapons detectors in  
17 the airport other than kind of silly stories.

18 The microwave oven issue arose years ago. There  
19 are signs every place: Don't go near the microwave oven.  
20 We've never seen a problem with that. I always tell  
21 patients now to be light about it, I say, Be sure not to get  
22 in the oven.

23 [Laughter.]

24 [Slide.]

25 So I would summarize this comment by saying there

1 should be no danger to life if, in the worst case,  
2 pacemakers were to cease abruptly. The induction of a  
3 sustained serious arrhythmias by an EAS device is not  
4 anticipated to occur. Risk to the pacemaker patients is  
5 infinitesimally small. Damage to a pacemaker and phantom  
6 reprogramming is unheard of in our experience.

7 [Slide.]

8 I'd like to make a final comment that I mentioned  
9 before. That is, as a clinician, it's my responsibility to  
10 make the patient comfortable with the device. As a matter  
11 of fact, I would like the patient with a pacemaker or  
12 defibrillator to forget he or she has the device, to live a  
13 normal life. We want to return a patient from an  
14 uncomfortable situation to a normal life, a normal  
15 occupation, whatever they are, whatever age. We have lots  
16 of young people, children. So if patients hear from any  
17 source about dangers, if they hear unsupported stories,  
18 dramatic stories, they can become terribly frightened.

19 Our most recent example of that was--you may have  
20 heard or I'm sure you all know about the Telectronics Acufix  
21 lead system. I have probably the largest series of patients  
22 in the country. We have 619 patients with the Acufix lead.  
23 That was a wire to the heart that had a tendency to tear  
24 some of the heart, and there were some deaths reported. We  
25 called all our patients, tested them all. It turns out the

1 only danger to the patient, real danger, was inexperienced  
2 people taking out those wires because there was more damage  
3 and deaths from removing them than leaving them alone.

4 But the point I'm trying to make is that patients,  
5 all patients, heard about this Telectronic lead, we were  
6 besieged with phone calls with patients who had everything  
7 else in them, nothing like those leads, wanting to know are  
8 they in danger of their wires in their heart.

9 So I think it's very important that we all  
10 understand that the proper context of the problem ought to  
11 be presented to the patients, and they have to be  
12 comfortable with what they're wearing.

13 [Slide.]

14 I want to conclude, before the red light goes on,  
15 that from my clinical experience I think there is no reason  
16 to expect any meaningful consequence to pacemaker patients  
17 from EAS devices.

18 Thank you for your attention.

xx 19 MR. FLETCHER: Thank you very much.

20 First of all, I'd like to thank all of the  
21 presenters for adhering very closely to some very tight time  
22 schedules. We are now entering the part of the meeting  
23 where we dedicate some time for committee discussion. All  
24 of those who presented, since there's no room for anyone to  
25 sit, what I would ask is, if there's an area of specific



1 question, please move quickly to a microphone so that you  
2 can respond. And if members of FDA staff have any  
3 additional information, please have them come forward also,  
4 Dr. Jacobson. Thank you.

5 Are there questions or comments from the panel?  
6 I'll start with Jane.

7 MS. EHRGOTT: Yes. Could someone please list what  
8 FDA would like us to act on today?

9 MR. FLETCHER: That's a good point. Dr. Jacobson?

10 DR. JACOBSON: Well, in your handout, you had a  
11 number of items--

12 MR. FLETCHER: Could you move closer to the  
13 microphone, please?

14 DR. JACOBSON: We had a number of items and  
15 questions that we were looking to have some kind of  
16 reaction. One was the general reaction on the installed  
17 base of products. I think we--our feeling was that we  
18 needed to have more information on testing. I think Dr.  
19 Zipes' study, we're really looking forward to that being  
20 completed. That's the kind of information that we need to  
21 get developed. Looking for appropriate in vitro surrogates  
22 for clinical testing, and the proper recommendations to give  
23 to the users of these systems. And we were looking for  
24 advice on what industry might want to do in terms of  
25 signage, perhaps. Then we're also looking for advice on

1 what FDA can be doing in terms of issuing advisories or  
2 targeting information to particular groups.

3 I think we're very much in agreement of the need  
4 not to alarm or frighten patients. The idea isn't to  
5 frighten people. It's to make sure that appropriate  
6 information is out there. In fact, our advisory was really  
7 geared toward physician information so that if they got  
8 questions or if they wanted to do some counseling, they  
9 would have additional information.

10 In terms of future products, we were looking to  
11 get some discussion going on the appropriateness of  
12 monitoring adverse event reports, that we totally agree that  
13 our MDR system is just a red flag kind of collection of  
14 anecdotal reports, is not meant to mean clinical studies or  
15 things that have to be analyzed in-depth. They're really  
16 just kind of red flags and might support research directions  
17 or something for the future.

18 We're also looking at support for the idea of  
19 pursuing a consensus standard group to try to get good  
20 design characteristics listed for all the industries, for  
21 the security as well as the medical device industry. So  
22 there's a lot going on there already, which you heard some  
23 of today.

24 MR. FLETCHER: Our guests and--

25 MS. KAUFMAN: My question is for the physicians

1 who had a clinical practice, and Dr. Harthorne and Dr.  
2 Parsonnet specifically mentioned they do, so I guess that's  
3 where my questions are directed. But if the other two have  
4 clinical practices, I'd be interested in their response,  
5 also.

6           The question is: Do you offer any advice to your  
7 patients relative to the systems? And if so, what is that  
8 advice?

9           DR. HARTHORNE: The answer is yes. We don't  
10 dissect every single exposure in society that these patients  
11 might come in contact with, but we do encourage them to use  
12 judgment when they're around electric motors or high tension  
13 wires or anywhere there might be some radiation of energy  
14 that could interfere with their pacemaker.

15           I might just add parenthetically, we test every  
16 patient for pacemaker dependency. It's part of a routine  
17 clinic or office visit that when they come in, we turn their  
18 pacemaker off. We don't shut it off, but you program it  
19 down decrementally until you demonstrate an escape rhythm,  
20 and we worry less about those people who have an escape  
21 rhythm than those who are pacemaker-dependent. But we talk  
22 about electrocautery during surgery. I'm probably consulted  
23 four or five times a week by the surgeons regarding--it's  
24 usually a woman having a breast biopsy who happens to have a  
25 pacemaker, and the surgeon's going to use electrocautery.

1 So there are lots of aspects that we advise them on.

2 EAS systems, the subject really hasn't come up  
3 that much. There is some mention in the literature and  
4 patients have called in, and we told them to ignore it.

5 DR. PARSONNET: I think you asked what we do  
6 routinely. I tend to be a little less specific than Dr.  
7 Harthorne. I tend not to bring the subject up unless asked.  
8 Now, most of the patients I see are old. I'm talking to  
9 their families. The younger patients who are likely to be  
10 more active, they're more likely to ask questions of what  
11 they can do. Can they play basketball? Can they do other  
12 things? So I'll discuss those with them. And since I  
13 believe what I told you, that I think the issues about  
14 electromagnetic interference are very small, I don't raise  
15 the issue unless it's asked. Once in a while I will if  
16 somebody works in an industry where they're going to be  
17 exposed to a lot of electromagnetic interference. But I try  
18 to pooh-pooh it. I believe what I said to you before. I  
19 think it's important to downplay it.

20 DR. ZIPES: I didn't indicate in my presentation,  
21 but I take care of lots of these patients as well, and I  
22 would underscore what Dr. Parsonnet and Dr. Harthorne said.  
23 I don't specifically go through a menu with them, avoid  
24 this, avoid that; but, rather, I stress to try to live a  
25 normal life and forget that you have the device in place. I

1 think that's absolutely essential.

2           The devices are built to function automatically.  
3 I tell my patients who have the implantable defibrillator,  
4 it's like have an emergency room in your chest, and it will  
5 monitor your heartbeat and it will do what it's supposed to  
6 do, and ignore it. Get about life and do your thing. And I  
7 think it would be absolutely appalling to have something  
8 like they have to worry about every time they go to buy a  
9 new book at Borders or go shopping and worry about going  
10 through an EAS system and consciously think of that each  
11 day.

12           MS. KAUFMAN: Dr. Zipes, I have another question  
13 for you while you're there. You mentioned the abdominal  
14 implants. Do you have any idea what percentage of the  
15 implants are abdominal today?

16           DR. ZIPES: It's a small percentage, 5 percent, 10  
17 percent, probably, and it's going to dwindle even more as  
18 those patients die because we're not implanting them in that  
19 fashion anymore.

20           But do remember, true, it's 3 out of 102. It may  
21 be significant. But we need some more data.

22           MS. KAUFMAN: And if I could ask you just one more  
23 question, you three obviously are aware of the issue, or  
24 non-issue, as the case--you know, however you prefer to look  
25 at it. Do you think that most cardiologists are aware of

1 just the issue in general, you know, not making a decision  
2 as to whether it's real or not but just that there is some--

3 DR. ZIPES: My bias would be no, because I think  
4 it's a non-issue and no one has really thought about it.  
5 Now, there will--has been a bit of tumult in some of the  
6 publications, and perhaps these isolated anecdotal case  
7 reports may stir some interest. But I would bet that the  
8 vast majority of cardiologists are not aware of this as a  
9 potential problem because none of them think that it is.

10 MS. KAUFMAN: Thank you.

11 MR. FLETCHER: Bob?

12 MR. TUROCY: Bob Turocy, and I have a comment for  
13 Dr. McIvor, and anyone else who is looking for data that  
14 would complement the FDA's medical device reporting.

15 Our corporation markets devices, and that is  
16 medical devices, within the European Community. Now, we  
17 must comply with the medical device directive there, and  
18 what the Europeans use is what is known as a vigilance  
19 system, which is almost identical to the MDR report. So if  
20 anybody's going to do any studies, I would suggest that they  
21 look at the vigilance system for additional data.

22 DR. ZIPES: I'd like to respond just briefly if I  
23 may. I think those kinds of reportings are important only  
24 to raise an issue that then needs to be looked at in a  
25 prospective controlled fashion, such as the study that we're

1 doing now. These anecdotes can inflame and do potentially  
2 more damage than good in certain instances.

3 MR. TUROCY: I agree with the anecdotal  
4 information. However, if the manufacturer is involved with  
5 a thorough investigation, he will implement and determine  
6 what is the cause of the failure. So I agree with the  
7 anecdotal statement, but manufacturers do have a  
8 responsibility to determine the cause of the failure and  
9 take corrective action where necessary.

10 Thank you.

11 MR. FLETCHER: Dr. Jacobson, do you have a--

12 DR. JACOBSON: Yes. I want to just say I  
13 certainly don't disagree with what you just said in terms of  
14 manufacturer responsibility. I do just want to make a point  
15 about the importance of a prospective study that would be  
16 suitable for peer review. That is, not just doing a study  
17 that shows interactions, but also I think it's really  
18 important that we get some careful evaluation of the  
19 clinical significance of those interactions. That was one  
20 of the big pieces of the pacemaker-cell phone study, and  
21 what took a lot of time was to try to describe what kinds of  
22 potential interactions were possible and what was the  
23 clinical relevance of those. I think a number of speakers  
24 have tried to get to that point this afternoon. It's not  
25 enough to have interaction. It has to be one that really

1 makes a difference for the patient.

2 MR. THOMAS: Yes, Dr. Zipes, I've got a couple of  
3 questions regarding your experimental presentation. Today  
4 we had a fairly extensive seminar on various types of  
5 devices. Is it my understanding that you were testing all  
6 four of the different frequency ranges or just a single EAS  
7 detector? And, also, did you evaluate any metal detectors  
8 in the study that you--

9 DR. ZIPES: We evaluated no metal detectors and  
10 only three devices of the Sensormatic system.

11 MR. THOMAS: And which device did you not test,  
12 which frequency range?

13 DR. ZIPES: I can tell you the ones we did test.  
14 We tested the Acoustomatic, the P-Magnetic, and the  
15 AisleKeeper.

16 MR. THOMAS: An additional question. I don't  
17 fully understand, because I haven't done the reading at this  
18 point in time myself, the in vitro experimental assemblies.  
19 And I'm assuming that the University of--pardon me, not  
20 University of Georgia but Georgia Tech has that. Can  
21 someone explain briefly what the in vitro assembly is like?  
22 Is it physiological or is it merely a water bath in which  
23 the device is suspended and then externally radiated?

24 DR. HARTHORNE: You're right on the last point.  
25 It's a water bath, basically an aquarium in which the device



1 is suspended but wired to the outside to record interactions  
2 that are brought about by exposing it to the EAS system. I  
3 have not seen it myself. I have seen it described.

4 MR. THOMAS: In your opinion, is that an  
5 appropriate in vitro evaluation of the performance of that,  
6 or do we have to have something that is more physiologically  
7 relevant?

8 DR. HARTHORNE: Well, it's a standard method of  
9 testing devices, and I think it's accepted in the research  
10 community. I don't think there's any substitute for the  
11 human body. All of us range in size from relatively petite  
12 to relatively huge, and when you bury the pacemaker device  
13 down deep in someone's body, it's insulated through bones,  
14 flesh, and whatever else is around it. And there's no way  
15 of simulating that in a test lab.

16 MR. THOMAS: And another question that I have, I  
17 got the impression from you and the other two speakers who  
18 followed you that you don't think that we should have  
19 posting of these EAS devices in terms of a hazard warning to  
20 the patient, that that should be warning or discussion only  
21 between the physician and the patient?

22 DR. HARTHORNE: My personal view is that the  
23 manufacturers of these medical devices are doing a perfectly  
24 fine job. The patients read these books over and over  
25 again. There is mention in there of potential sources of

1 interference. If you start placing signs in stores, you're  
2 going to have a rash of hysterical patients who will then  
3 have symptoms that they never would have had otherwise  
4 simply because they feel they should have them.

5 I'm still seething with relative indignation about  
6 an article that appeared in Forbes Magazine within the past  
7 year in which the reporter alluded to "old gomers" dropping  
8 dead--and that's a quote--patients with pacemakers walking  
9 into stores having a particular type of device, and falling  
10 down dead. That simply doesn't happen. It's erroneous,  
11 it's hysterical, it's inflammatory. And we had dozens of  
12 phone calls from terrified patients fearful of going  
13 shopping. So if anything comes out of this committee  
14 meeting, I would encourage something that's carefully  
15 considered and does not provoke hysteria amongst patients.

16 MR. THOMAS: Thank you.

17 MR. FLETCHER: With that point, I do want to  
18 remind the committee that as far as our deliberations are  
19 concerned, we're focused or should be focusing in on whether  
20 or not these EAS devices are hazardous or not hazardous, or  
21 whether we need more information. That's really where we  
22 need to get to.

23 MS. EHRGOTT: Are there any active studies going  
24 on on the spinal stimulators?

25 DR. JACOBSON: None that we're aware of.

1 MS. EHRGOTT: Because I think we only heard about  
2 pacemakers today.

3 MR. FLETCHER: And defibrillators.

4 Dr. Cardella?

5 DR. CARDELLA: Is Dr. McIvor still here?

6 MR. FLETCHER: I think he had to leave.

7 DR. CARDELLA: He had to leave. Okay . My  
8 question was: Of the 48 out of 50 interactions with the  
9 Acoustomagnetic system, which protocol of transit through  
10 the gates did that occur with? Does anybody know that?

11 DR. SHEIN: From Dr. McIvor's presentation,  
12 Protocol A represented just a simple straight walk through  
13 the system. Protocol B was when the patient stood in the  
14 center point of the system and turned around. Protocol C  
15 represented standing in the 75-25 point, closer towards one  
16 of the towers. And D is when the patient leaned against the  
17 tower, both parallel and perpendicular to it.

18 MS. KAUFMAN: If you add up all the incidents  
19 under A, B, and C, I believe it comes to like 56. And if  
20 you add up all the incidents under the D scenario, it comes  
21 to like 70. So the D scenario clearly, if there is an  
22 impact, has much more of an impact, and that was the one  
23 where they're hugging or close--

24 DR. SHEIN: Right.

25 MS. KAUFMAN: So if there is a hazard, that

1 clearly seems to be more of a hazard than any other  
2 scenario.

3 DR. SHEIN: Well, you might look at that bottom  
4 line. I'll offer what I offered earlier today, that it's  
5 illustrative to look that there are still a fair number of  
6 responses in the A and B column.

7 MS. KAUFMAN: Yes.

8 MR. FLETCHER: Yes, Dr. Marx?

9 DR. MARX: One of the only things that it seemed  
10 to me that the entire group of speakers agreed upon was that  
11 pacemaker patients, spinal stimulator patients, and  
12 defibrillator patients should be counseled to just walk at a  
13 normal pace through EAS systems, and that seems like very  
14 reasonable advice. My question is: Is that message getting  
15 to the patients? And if so, whose responsibility is it to  
16 get the message to the patients? I'm not asking any--  
17 whoever would like to answer, I'd be happy to hear it.

18 DR. PARSONNET: I think I have to reiterate and  
19 say that I don't think I have to tell the patients that,  
20 because you all heard that millions and millions of times a  
21 year people are walking through these things, and Dr. Zipes  
22 emphasized that you don't want people walking up to a device  
23 and thinking about it, because that's not clinically wise.  
24 If you had--I know you--but if you had a pacemaker, you  
25 don't want to think about it when you walk through the door.

1 So I don't think it's wise to advise them about it, and if  
2 asked, I will tell them what I'm telling you, that there is  
3 virtually no problem and not to think about it.

4 DR. MARX: Have you ever had patients who seemed  
5 to notice an association where they'd say, oh, every time I  
6 go into Hudson's I feel funny, or anything like that?

7 DR. PARSONNET: No. On the slide I didn't read  
8 from, I tried to emphasize the fact that I see 1,500  
9 patients--I have telephone monitoring 250 times a week. I  
10 see every day in the clinic anywhere between 10 and 15  
11 patients--when I'm not in the operating room, I see them--  
12 and I just don't hear complaints about going in and out of  
13 department stores, libraries, airports, anything. You just  
14 don't hear it.

15 Now, Dr. McIvor said, well, if somebody dropped  
16 dead in the department store, how do you know it wasn't the  
17 pacemaker that did it? Well, that's a hypothetical--I mean  
18 the EAS device that did it. That's a hypothetical question,  
19 you know. "Are you still beating your wife" type of  
20 question. And you just don't know that. People drop dead  
21 often. Six hundred thousand people in this country drop  
22 dead every year of heart disease. But they're not going  
23 through EAS devices.

24 So it's the kind of thing that you want to avoid.  
25 You don't want to alarm them, especially something that I

1 regard as trivial.

2 MR. FLETCHER: Dr. Jacobson?

3 DR. JACOBSON: I think you asked a really good  
4 question, and the answer is in terms of who gives the  
5 information to the patients. One avenue for that is  
6 information from the manufacturer in the patient information  
7 books that these patients get.

8 That varies. We are in the process of looking at  
9 what kinds of information is in those books, particularly  
10 for the neurostimulator patients where it is a little bit  
11 more uneven in terms of what information is getting out.

12 One of the purposes of the advisory going to  
13 positions is for us to try to give information to physicians  
14 to counsel their patients, and in fact, one of the questions  
15 for the group is what do you think we should be telling  
16 physicians so that they can counsel their patients.

17 And this question of--what we have in our draft  
18 right now is fairly straightforward. It says be aware that  
19 systems can be hid, do not linger, and if you think it is  
20 important, you can ask for alternate forms of search for  
21 metal detectors, for example.

22 One of the--we talked long and hard about whether  
23 we should say "what" straight through the system, and we are  
24 still a little uneasy about that. It is a slightly  
25 different message to tell patients you are okay if you walk

1 straight through the system.

2 We do not have a lot--we have some studies that  
3 say, well, they did get interactions in a protocol, in  
4 Protocol A. Are they significant interactions? So this is  
5 a little different from walk through and you are all right  
6 as opposed to do not stay near these systems, do not linger.

7 And we would appreciate--maybe we are making much  
8 too fine a distinction here.

9 MR. THOMAS: Looking at the data that was  
10 presented earlier today by the FDA on the summary of the--

11 MR. FLETCHER: You may need to get a little closer  
12 to the mike.

13 MR. THOMAS: I am sorry. Thank you.

14 Looking at the data that was presented by the FDA  
15 this morning on the MDR reports and that summary page and  
16 trying to change how I am looking at it, I have a question.

17 The SynePace AFP2, is that an abdominal implant,  
18 or would that be implanted elsewhere? That is the devices  
19 that they say is a pacemaker that was a severe reaction and  
20 the patient lost consciousness.

21 DR. ZIPES: Just the pacemaker?

22 MR. THOMAS: Just a pacemaker, and I do not know  
23 how they are implanted. I am not a pacemaker.

24 DR. ZIPES: It would be extremely unlikely.

25 MR. FLETCHER: You are going to have to come to a

1 mike.

2 DR. ZIPES: Which number are you referring to?

3 MR. THOMAS: Item No. 28. It is the loss of  
4 consciousness under the pacemaker through an EAS device.

5 DR. ZIPES: The size of the initial defibrillators  
6 led to their abdominal implantation, but the pacemakers  
7 without the defibrillator capabilities are so small that I  
8 think it would be extremely unlikely that that would be an  
9 abdominal implant. It would be most likely a pectoral.

10 MR. THOMAS: Yes. This occurrence was in April of  
11 '95.

12 DR. ZIPES: Very unlikely, but Dr. Harthorne  
13 knows--

14 DR. HARTHORNE: I have not seen the sheet you are  
15 talking about, sir. I am guessing at what you are trying to  
16 describe. I am not aware of any--you said something about  
17 AFP. That happened to be a model number of a particular  
18 pacemaker years ago.

19 MR. THOMAS: Let's see. Well, it says the  
20 security system was an EAS system, and the manufacturer of  
21 the pacemaker was Seimens. The device is called SynePace  
22 AFP2, and now you know as much as I do about the device.

23 DR. HARTHORNE: I am familiar with the system.  
24 Seimens is a Swedish company. The device in question has  
25 been recalled for technical flaws. I do not know of a



1 specific example of this particular patient, though.

2 MR. THOMAS: From what I have seen here in the NDR  
3 reports, from what we have heard this afternoon, it sure  
4 looks like to me that the initial evidence that has been  
5 presented is that there is indeed not a problem with  
6 pacemakers and EAS devices, but there certainly seems to be  
7 some concern with the airport metal detectors.

8 I would like to maybe entertain some discussion  
9 among ourselves here about making a recommendation to the  
10 FDA that a standard laboratory for evaluation be considered  
11 or a site be considered for evaluation of the interaction of  
12 these various devices with both metal detectors and EAS  
13 systems:

14 It sounded to me like we have a system at Georgia  
15 Tech that is used to evaluate EAS systems, but it was  
16 unclear to me that we had something like that for the  
17 evaluation of metal detectors, and it appears to me that the  
18 issues are really with metal detectors and not with EAS  
19 devices, from the data that we have in front of us.

20 MR. FLETCHER: Let's hold that point while more  
21 discussion goes on.

22 Did you wish to respond?

23 MR. PODHRASKY: Yes. I spoke on the metal  
24 detector issue earlier.

25 MR. FLETCHER: Okay. Would you reidentify

1 yourself?

2 MR. PODHRASKY: Bob Podhrasky from Garrett Metal  
3 Detectors.

4 I just want to--before you review--I was very  
5 unhappy when I saw the format of that report because it put  
6 metal detectors in the upper left-hand corner, most common  
7 incidence, most severe effects in red, and I don't know that  
8 it is representative of metal detectors.

9 I will offer that again. As I said, of the 15  
10 incidents of metal detectors and the reports you have here,  
11 six of those were related to one particular cardiac implant.  
12 So I would just--before you take a great deal of action, I  
13 would suggest you study the information presented and look  
14 beyond the first page of that report.

15 MR. THOMAS: I think that is fair. No problem.

16 MR. FLETCHER: Once again, I am hoping that the  
17 committee is coming to the point where we can give a  
18 recommendation to the FDA as to whether or not we feel that  
19 these devices--we have received enough information to say  
20 that these EAS devices are hazardous, potentially hazardous,  
21 non-hazardous, or whether or not there is just not enough  
22 data for us to come to any conclusion at this point in time.

23 MS. KAUFMAN: On the one hand, I think that the  
24 small number of incidents that we have seen can give us all  
25 a level of comfort that if there is a hazard, it does not

1 appear to be a really great hazard.

2 On the other hand, I am a little bit concerned  
3 about Dr. Zipes' statement that most cardiologists are  
4 not--are not even considering this as a possible--as even a  
5 potential issue.

6 So I am not sure that the data we have--how  
7 complete it is. So I am of the opinion that we do need to  
8 gather additional data, and it would seem to me that it  
9 would be advisable for FDA to notify a cardiologist--not  
10 that they should notify patients. That is a completely  
11 separate issue.

12 What I am suggesting is that we notify a  
13 cardiologist that this is a consideration that they just  
14 need to have in the back of their heads; that when--if the  
15 patient reports something that this would just be one of, I  
16 presume, many questions that might be asked, and then the  
17 FDA try and--that we start trying to gather additional data.

18 MR. FLETCHER: Jane and then Bob.

19 MS. EHRGOTT: What I would like to see before that  
20 done is for FDA to make a better review of the accompanying  
21 literature to these devices as to the warnings that are  
22 already supplied by the manufacturers, and then determine if  
23 that is appropriate to mitigate any general blanket letter.

24 MR. FLETCHER: Bob?

25 MR. TUROCY: Bob Turocy. From my experience, I

1 believe the FDA already has that information from  
2 manufacturers. So I think the information has already been  
3 presented to physicians, as well as anybody else. So that  
4 is already contained in premarket approvals. So why would  
5 we have to do it again?

6 MS. EHRGOTT: Well, then, the thing is why are the  
7 physicians using that information? Why do they need another  
8 piece of--

9 MR. TUROCY: I cannot answer for the physicians.

10 MR. FLETCHER: Is there a physician who would like  
11 to answer for the physicians?

12 DR. PARSONNET: If the information is available in  
13 the label that comes with the device, which it does, it  
14 strikes me as sufficient, and I think, Ms. Kaufman, you  
15 wanted more information. How are you going to get it? I  
16 mean, here we are, clinicians--I tried to figure out before  
17 how many pacemaker implants I have seen, something like 120  
18 a year for 30 years, 30,000. Maybe I've done my math wrong.  
19 And I see 1,500 patients a year. I don't hear of problems.

20 Now, you think of all that time, I would year  
21 something. Do we need data to prove that? Are you going to  
22 do another 30 years of inquiry to prove that a clinical  
23 impression you have three people here who have lived with  
24 this technology for their professional lifetimes tell you it  
25 is not dangerous? We do not see it. I am not saying there

1 is nothing there. Obviously, there are arrhythmias that are  
2 insignificant, and they last for a beat or two, but I don't  
3 know how you are going to get more data. It is not  
4 dangerous. It is in the label. People are not asking me  
5 the questions. So I think you are not going to get anywhere  
6 with that approach.

7 MS. KAUFMAN: Do you disagree with Dr. Zipes'  
8 statement that most cardiologist are not even considering  
9 this as a potential issue and, therefore, may not even be  
10 asking their patients questions about it?

11 DR. PARSONNET: I do agree with them. I think  
12 they are not aware of it because they have never experienced  
13 the problem. They do not go to medical meetings and hear me  
14 get up and say, "Listen, EAS devices is something you have  
15 to worry about." They hear Dr. McIvor talking about it, but  
16 they do not hear us.

17 We give courses at the American College of  
18 Cardiology. All three of us have been involved in courses  
19 for 25 years on pacing, and we mention electromagnetic  
20 interference. We talk about pacing on the T-wave. We talk  
21 about vulnerable periods. We do this as an academic issue,  
22 but nobody leaves those meetings saying I have got to go  
23 home and tell my patients not to talk through weapons  
24 detectors. It is just not clinically wise.

25 MS. KAUFMAN: So, in summary, your advice to FDA

1 is to do absolutely nothing. Is that correct?

2 DR. PARSONNET: I would be sure that the  
3 information was available on the label; that if a physician  
4 wanted to find out what the dangers are, he can open the  
5 booklet that comes with each device, read through it and see  
6 what it says. If he has questions, he knows how to call his  
7 field representative and find out about it. There are  
8 plenty of ways he can find out if he thinks there might be a  
9 problem.

10 MR. FLETCHER: Dr. Cardella, you had your hand up.

11 DR. CARDELLA: I have some general comments for  
12 anyone, I guess.

13 You need to understand what this group of  
14 panelists, I think, is feeling. We see presentations that  
15 list MDRs for these devices, and then we hear a litany of  
16 highly regarded experts saying that those MDRs are  
17 insignificant or are not of concern, and I want to be sure  
18 that the group understands that we are not just splitting  
19 hairs about definitions.

20 It is very difficult to make decisions in the face  
21 of what on the surface seems like wildly disparate reports,  
22 and I think the panel needs to understand whether the  
23 cardiology community and the cardiothoracic surgery  
24 community, indeed, believes that all 46 of these reports are  
25 trivial and insignificant events or if the reduction of the

1 pulse to 31--if you go into an idioventricular escape  
2 rhythm, some people become very sick from that. They may  
3 not die, but if there is the risk of inducing that, even at  
4 a small risk, for intellectual honesty, we need to know if  
5 that does, can, or has occurred. And it may be that your  
6 very large practices have been very lucky. That is the  
7 skeptic's way to look at it.

8           And that is, I think, what people are struggling  
9 with. That is what I am struggling with, and I think if I  
10 am struggling with it, maybe they are, too.

11           DR. HARTHORNE: What I was hoping to emphasize in  
12 my short presentation was not that these observations that  
13 Mike McIvor has presented do not occur. They do occur, and  
14 he demonstrated them. And we need to know about them and  
15 look at them in our own practice.

16           The fact is that they are irrelevant. They are  
17 interesting to look at, but a premature atrial stimulation  
18 is of no consequence whatsoever.

19           So, if the energy being dissipated by the EAS  
20 system causes the pacemaker devices to fire one or two beats  
21 early, it is the equivalent of having a brief burst of  
22 supraventricular tachycardia. You are a physician.

23           If it causes a device to inhibit from one beat or  
24 two beats and your heart rate goes from 72 to 70, it did not  
25 go to 30. If you looked at the space between the two

1 pacemaker beats during the inhibition and assume that that  
2 was the continued heart rate, it might end up being 30, but  
3 in a worst case, if a patient gets into an EAS system field  
4 and he cannot get out, for some reason he has got a great  
5 big guy in front of him, a great big guy behind him, and  
6 they are rushing saline and he is stuck there, he is going  
7 to fall on the floor.

8           That is kind of a ridiculous way to look at it,  
9 but when people feel dizzy, they get away from whatever is  
10 making them feel dizzy.

11           So I share your concern, if one wants to become  
12 very theoretical and say, well, this could happen, he could  
13 be the repair man who fixes the EAS system and he has to  
14 hang around it all day long, I mean, you can construct  
15 circumstances.

16           The fact is in three very busy practices in this  
17 country, we simply do not see it as a clinical problem, and  
18 I would ask each of you who go shopping every day how often  
19 going into Home Depot or any other store have you seen  
20 somebody fall down. People drop dead all year long. I do  
21 not remember seeing one, but they tell me it happens, and I  
22 do not know that it happens with any greater occurrence in  
23 exposure to an EAS system than sitting on an airplane or  
24 walking through a train station.

25           So our concern is not that the information is



1 incorrect. The information is correct that Dr. McIvor  
2 presented. Our concern is how you process that, and our  
3 interpretation of it is that it is interesting, but  
4 irrelevant to clinical practice.

5 DR. CARDELLA: And what about the interactions  
6 with the metal detectors, the hand-held wands?

7 DR. HARTHORNE: Again, I can only speak from  
8 personal experience. I have had thousands of patients  
9 through the years who have gone flying, and most of them  
10 will take out their little pacemaker card because the  
11 manufacturer told them to, not because I told them to, and  
12 identify themselves to the ground steward and say I have a  
13 pacemaker, and the ground steward will go over them with his  
14 little hand-held wand.

15 I do not know of any circumstances where a patient  
16 has been harmed by that. Others might, but I do not, and I  
17 do not know of any case reports.

18 DR. CARDELLA: There are some indicated.

19 DR. HARTHORNE: There are some, but I am not  
20 familiar with them.

21 DR. CARDELLA: That is a little bit of the  
22 difficulty, I think, everyone is having.

23 DR. HARTHORNE: Right.

24 DR. CARDELLA: I think if someone has v-fib and  
25 loss of consciousness, I would call that a severe reaction

1 and something that perhaps ought to be addressed if it had a  
2 significant incidence.

3           If it is a trivial, 1-in-10-billion occurrence,  
4 then maybe it doesn't need to be addressed, but I object to  
5 the idea that those reactions are being trivialized, at  
6 least that is the way it seems to me.

7           Somebody who develops a v-fib, loses consciousness  
8 and drops, I think that is a severe response, whatever  
9 the--whatever the device that induced that, and maybe what I  
10 don't understand is that you guys are saying that the device  
11 did not induce it. This is an event that would have  
12 happened to that guy had he been standing out in the middle  
13 of a football field, and I do not know that. If that is  
14 your position, then we need to hear that, but I would to  
15 have some expert person tell me yes, that is credible. That  
16 could have happened that somebody would induce v-fib and  
17 drop from this because everybody is making it seem like it  
18 is unrelated. That is what bothers me.

19           DR. HARTHORNE: We are sort of addressing  
20 different topics here. My interest is in cardiac  
21 pacemakers, and I think he was telling us about  
22 defibrillators, and you are talking about both of them, sort  
23 of.

24           DR. CARDELLA: Right.

25           DR. HARTHORNE: And I cannot comment about the

1 defibrillators. It is not my area of expertise, but for a  
2 pacemaker patient, I have not seen anything that concerns me  
3 that what you are describing will occur.

4 DR. ZIPES: I would like to respond to you  
5 because, in no way, would I want to trivialize ventricular  
6 fibrillation.

7 I think, though, what we are talking about, at  
8 least with the implanatable defibrillator is that the device  
9 spuriously senses the presence of VF from the EAS system in  
10 a patient in a regular rhythm, calls it VF and delivers a  
11 shock unnecessarily, at least that is what the reported  
12 cases have been.

13 Now, perhaps you know more of a device actually  
14 inducing the VF. I would be happy to look at that, but in  
15 any event, as I present it, 2 of my 102 patients, when they  
16 stood for 2 minutes in the EAS system, would have received a  
17 shock had we not deactivated the therapy because the ICD saw  
18 the EMI and called it ventricular fibrillation. Indeed,  
19 that is not trivial by any means, but it took an extreme set  
20 of circumstances to induce.

21 This was 2 minutes staying right in the gate.  
22 This is not just walking through with ordinary use.

23 Indeed, I would love to see the cardiograms that  
24 McIvor says were these important interactions because, if  
25 they are no more than intellectually interesting hiccups,

1 like Dr. Harthorne says, then they are totally trivial,  
2 though they are real. It is the patient who has a heart  
3 rate of 200 and goes down from an EAS device that clearly  
4 would get everybody's attention, but it has not happened. I  
5 am not aware of an event like that.

6 So, while there are interactions--and what I tried  
7 to do with my data is to apply clinical significance or lack  
8 thereof of the interactions.

9 MR. FLETCHER: Did you want to speak, Dr.  
10 Podhrasky? And then Dr. Jacobson and Dr. Lipoti.

11 MR. PODHARSKY: Again, I want to be sure that my  
12 point was totally clear, and I am certainly encouraged by  
13 the information I am receiving from the medical profession  
14 about their opinion of safety of EAS systems and metal  
15 detectors, but regardless of what this panel decides today,  
16 I will get a letter next week that will ask is your metal  
17 detector safe as far as my cardiac implant, and I will have  
18 to answer that person.

19 I am here to represent the metal detecting  
20 industry. We are prepared. We have been working on some  
21 voluntary standards. We are prepared to continue those  
22 standards, and those standards will be much more meaningful  
23 if we get the cooperation and the participation of the FDA  
24 and the medical implant manufacturers, and I have been  
25 talking to people over a year to do that, and that is

1 exactly what I would like to do.

2           Again, my position is I feel like they are safe.  
3 I feel like there is every reason to demonstrate they are  
4 safe, and I am prepared to work toward that end.

5           MR. FLETCHER: Dr. Jacobson?

6           DR. JACOBSON: I just wanted to say it is really  
7 good to hear this kind of a discussion because, believe me,  
8 it mirrors the discussions we have been having in-house  
9 about this issue, and to hear you all struggling with it is  
10 just sort of underscoring the problems we have been having,  
11 too.

12           I still think the bottom line that we have is that  
13 we agree that we do not see a major public health problem  
14 here. I said that at the very outset.

15           What we are struggling with is we do see  
16 potentials for interactions, but they are based on our  
17 anecdotal reports. They are based on some good studies, but  
18 that are limited. They are not comprehensive in terms of  
19 looking at all of the technologies of the same study in a  
20 nice reproducible format that we can really evaluate.

21           We are looking for advice on how do we give  
22 physicians information in a way that is helpful and not  
23 hurtful and does not raise anxiety levels, and how do we  
24 encourage the development of better information so that we  
25 can make intelligent decisions and that we are not sort of

1 struggling with these anecdotal things.

2 I do want to come back to the importance, too, of  
3 being able to evaluate the relevance of the effects when  
4 they are seen, and I think that has to be an in vivo/in  
5 vitro package. They have to be looked at together.

6 And also, we need to make sure that we are not  
7 lumping all the device types any more than we lump any more  
8 of the security device types because, obviously, the  
9 neurostimulator present a very different set of issues.

10 MR. FLETCHER: Dr. Lipoti?

11 DR. LIPOTI: In the face of uncertainty, I always  
12 err on the side of doing more research and gaining more  
13 information, and so I would like to suggest a course of  
14 action which would get us some more information so we can  
15 make a better quality decision.

16 I am also struggling with so what does this really  
17 mean. I think one of your best ways to get better  
18 information is to improve the quality of reporting to your  
19 medical device reporting, and the only way you can do that  
20 is to write to the people who would report and to ask them  
21 for more complete information.

22 So you have a draft in here of important  
23 information that would--a request for information that would  
24 go to cardiologists, neurologists, cardiovascular surgeons,  
25 neurosurgeon and emergency physicians.

1           Now, I am concerned about the emphasis in this  
2 particular draft on recommendations for patients, and I have  
3 heard what the distinguished panel of physicians have said.  
4 So I believe that should be modified, but if you ask people  
5 for better information, maybe next year when we come back,  
6 we will have something to make a better decision on.

7           I am particularly concerned about the lack of  
8 information on spinal cord stimulators. The information  
9 that we have gotten almost all afternoon has been on  
10 pacemakers and defibrillators, and some of the problems that  
11 you have uncovered are these spinal cord stimulators.

12           So I would like to suggest that you allocate a  
13 time for one of your scientists, Jon Casamento, to do some  
14 additional work in this area. I thought his presentation  
15 this morning has some of the best science that I have seen  
16 all day.

17           I think he might have some ideas for testing  
18 spinal cord stimulators, not in clinical studies, but in the  
19 laboratory, to gain some additional information.

20           I think the work that--you have heard from various  
21 people that they would like to work with FDA on some  
22 consensus standards, and maybe this committee has already  
23 done its job in bringing this issue as one to everyone's  
24 attention, and there will be perhaps more work done on  
25 consensus standards because I think there are no consensus

1 standards for the spinal cord stimulators. That has to be  
2 an area that you get to work on, so that you can prevent  
3 problems.

4 So I think FDA has done some excellent work and  
5 they are moving in the right direction. I would really like  
6 to express my thanks for bringing all of these experts to us  
7 today.

8 MR. SAVIC: Stan Savic.

9 I am concerned that we do not look at these  
10 particular devices out of the context of all of the possible  
11 interactions with the cardiac implant devices. Is the  
12 Center looking at it, or is there data on other sources of  
13 interference, I should call it, such as computer monitors,  
14 hair dryers, electric blankets, TV remote controls, in terms  
15 of relative incidence of some of these observations? Is  
16 there any type of a database such as the incidents that have  
17 been discussed in here, for example?

18 And I would just direct the Center to look at the  
19 entire issue of interference with those devices.

20 It is clear from an engineering standpoint that if  
21 you have different types of security devices operating at  
22 different frequencies and different physics, you will get  
23 different effects out of it. So far, it does not seem that  
24 as diverse as they may be, they appear to rise to the level  
25 of public health concern, and I think you kind of expressed



1 that, or at least you said not as serious public health  
2 concerns, but the only other comment that I would offer is I  
3 think earlier there was a comment on either labeling or  
4 putting notices in locations, something to the effect that  
5 says do not linger or pass through.

6           And I think a lot of the studies kind of focus  
7 that there are significant effects that you can see, even  
8 though they may not rise to the level of public health  
9 concern, that you see if you stay there for 2 seconds or  
10 more.

11           I am not sure that I would agree with the  
12 statement that if a given manufacturer were to put a sign on  
13 his devices or on the floor in between the two probes that  
14 it would say something, do not linger, just move through,  
15 for example, without any reference to cardiac implant  
16 devices; that there would be any adverse effect to either  
17 that manufacturer versus the stores that may be using  
18 another brand that does not have that.

19           But I would urge the industry through their  
20 association to look into the possibility of saying something  
21 to the effect, do not linger, do not stand here. It is as  
22 simple as that, perhaps.

23           I am not sure that it is necessary, but I would  
24 certainly encourage an industry look.

25           MR. FLETCHER: Jane?

1 MS. EHRGOTT: A couple of things. I would like to  
2 agree with Stan there. Many industry groups develop general  
3 labeling and warning statements for their entire industry  
4 and maybe that would be a good place to work on determining  
5 whether this is appropriate or not.

6 Second of all, the IEEE SCC 34 would welcome any  
7 activity to develop a performance standard for these units.  
8 So I would give you the name of one of the officers, and  
9 they are currently writing performance standards for  
10 cellular telephones. This would be one place where there  
11 might be an opportunity to develop standards for the U.S. in  
12 this area.

13 Now, the other thing is I have a question about  
14 CENELEC. Would the CENELEC, with the adoption of the  
15 current CENELEC standard or--I do not know if it is an  
16 existing standard that is being written--would that mitigate  
17 any of the issues that were raised today?

18 DR. JACOBSON: You would have to ask if anyone  
19 else at FDA can answer that one because I am not sure I can.

20 MS. EHRGOTT: Would the adoption of the CENELEC  
21 standard--I do not know whether it is a current or proposed  
22 standard--mitigate any of the issues that were raised today?

23 MR. BECKER: I am Jerry Becker. I will fill in  
24 for Jim Putzke who has left.

25 There is a CENELEC standard, EN50061, which

1 contains the EMI criteria for pacemakers, the implantable  
2 pacemakers.

3 This is a standard that has been adopted. It is  
4 in place and, being utilized in the European Community, has  
5 a guidance for low frequency EMI control for pacemakers.

6 If you look into the details of the field  
7 intensities that will be equivalent to that standard,  
8 unfortunately the standards that pertain to biological  
9 safety and these EMI standards do not mesh properly.

10 So, just complying with that standard does not  
11 preclude the type of interactions we are discussing.

12 DR. JACOBSON: Mitch just told me that that is one  
13 of the standards that has been proposed to us for review to  
14 see whether we would recognize it or not. We have not  
15 reviewed it yet.

16 MR. FLETCHER: Okay. Cass?

17 MS. KAUFMAN: Based on the information that we  
18 have heard today, and particularly of interest is the lack  
19 of clinical impact from what we have heard from the  
20 panelists of these incidents, I am not comfortable with  
21 requiring labeling or patient notification, although I  
22 really am very sensitive about the medical community taking  
23 a paternalistic approach to patients. I certainly  
24 understand the issue of unnecessary alarm as well.

25 But if I am one of these physicians and I deal

1 with these patients who have such implants and I am not even  
2 aware of even a potential issue, that I might even ask this  
3 question, I think it is information that I would want to  
4 know, and so I would suggest that we consider advising FDA  
5 to send a letter to these physicians, but a different letter  
6 than what has been drafted here.

7           For example, this letter says that these  
8 individuals may be adversely affected, and I would suggest  
9 that we tone that in a manner of saying we are just trying  
10 to gather information, not put it in the context of adverse  
11 effects, but we just want information, and just to advise  
12 them that we are just trying to gather information. And if  
13 their patients report such problems, that this, again, might  
14 be one of many questions that they would ask.

15           I do not think that we should suggest that they  
16 give particular recommendations to patients at this point in  
17 time. It just does not appear to me that we have enough  
18 evidence to go to that level at this point, but I agree with  
19 Jill that I think we do need a lot more information. It  
20 would seem to me the best way to get that is to at least  
21 have the physicians involved recognize that this might be a  
22 question that they would want to ask.

23           MR. FLETCHER: Dr. Marx, did you want to say  
24 something?

25           DR. MARX: Oh, I would concur with her opinion

1 that I think that some of these recommendations for patients  
2 are way too strong based on the evidence that we currently  
3 have, and I would also definitely think it is--you asked  
4 specifically about the issue of requesting alternate exits  
5 and entrances, and I think it is way, way early for  
6 something like that because that would be a huge big deal.

7           One thing I would add is that I think the issue of  
8 the nerve stimulation devices is potentially much larger  
9 than that of the pacemakers because you have--here, these  
10 are things that the patients definitely felt, and they were  
11 unpleasant. And it is a much smaller population of patients  
12 who have had these devices for a much shorter period of  
13 time. You have not had these devices implanted for 30  
14 years. You have only had them implanted, I think, for less  
15 than 10, and I think that that is potentially an issue that  
16 needs to be addressed by these consensus research efforts  
17 between industry and the two different industries because,  
18 as a larger population of patients has these devices, they  
19 will be very unhappy.

20           DR. ZIPES: I consult for a company who does make  
21 those devices, though I do not have firsthand experience.

22           I would like to make two comments. Number one,  
23 the numbers of patients with those devices is very small.  
24 It may increase, but it is still pretty small.

25           Secondly, the adverse effect is minimal in the

1 sense that it is pain in the back. It is not like you are  
2 going to have a sinkable spell or ventricular fibrillation  
3 or one of these things. So I, as much as I can, would  
4 advise caution in moving too quickly in that area and try to  
5 get the data first.

6 MR. FLETCHER: Follow-up?

7 MR. THOMAS: Not really a follow-up, but I would  
8 like to just voice my support of what Joe and Mary said on  
9 the voluntary standards and the fact that I agree that we  
10 need more information.

11 The neurostimulators, I do not know enough about  
12 them to know whether that is a problem or not because  
13 nothing has been presented.

14 If we say we have a low end, we have a high, and  
15 in terms of NDRs, that is the highest number, and they are  
16 all either severe or moderate, but from what I am hearing  
17 from our physician experts, these are neurostimulators. It  
18 is like a pain in the back. I hate to say it that way, but  
19 I think that is what it sounds like.

20 So maybe it is not as much of a problem as what we  
21 might be thinking about, but what I would like to strongly  
22 encourage is some things that I have heard already started,  
23 and I do not want to see that drop into a black hole,  
24 specifically the continuing development of voluntary  
25 standards by the various professional organizations for both

jam

1 the security system devices, as well as working in  
2 coordination, in conjunction with device manufacturers, the  
3 medical device manufacturers.

4 I think we all agree that we need more  
5 information, and I also think we all agree that we do not  
6 see this as a significant public risk, but it is an issue  
7 that probably should be looked at and not soaked underneath  
8 the table, and at the same time, we want to ensure that we  
9 do not cause public or physician alarm in this area.

10 It is one of those interesting scientific areas  
11 that I think probably needs further investigation, and that  
12 is what I would strongly recommend that we look at the  
13 science associated with this, as well as creation of  
14 voluntary versus required standards.

15 MR. FLETCHER: Bob?

16 DR. TUROCY: Bob Turocy.

17 I was wondering of the industry of the  
18 surveillance systems are currently using standards, and if  
19 they are, what are those standards?

20 Say, for example, UL or IEC standards, and that  
21 would be used in the design of the manufacture of the  
22 product.

23 DR. DAVIES: There are some standards such as the  
24 NCC95 1991, which is also a IEEE standard. There are  
25 CENELEC standards, two standards which relate to different

1 frequency ranges. Both of those standards are about the  
2 safety of electromagnetic fields, and we comply with those.  
3 And there are many standards at national level as well which  
4 are complied with.

5 DR. TUROCY: In addition to the product standard,  
6 how about quality system standard? Say, for example, an ISO  
7 9001, if you are designing, do you produce it and service  
8 the device, or what the FDA uses in the medical device  
9 industry, it is known as the quality system regulation, or  
10 formerly the GNP. You have a quality system in place.

11 DR. DAVIES: Right. The company which I  
12 represent, Meadow, does not use ISO 9000, but I cannot  
13 comment on the other EAS manufacturers. Maybe there are  
14 some here today who can comment.

15 DR. TUROCY: What ISO standard do they use? 9001?

16 DR. DAVIES: They don't.

17 DR. TUROCY: They don't?

18 DR. DAVIES: No, they don't.

19 MR. FLETCHER: I think, hopefully, Dr. Jacobson,  
20 the comments the committee has made has given the FDA the  
21 direction that the committee would like to see.

22 I think all of us agree that at this point, the  
23 data that we have seen, the data that we have heard does not  
24 indicate a serious public health problem. However, there is  
25 some troubling information about, you know, certain



1 instances where there have been occurrences that need to be  
2 further investigated. And I know there is concern that  
3 there may be instances that we are just not aware of where  
4 problems have occurred, and perhaps they have been put in  
5 one category or another and not put in a report that could  
6 be focused upon.

7           So I do not know what more we can provide at this  
8 point except that this is an area that we would like to see  
9 further investigated and more data collected.

10           And I agree that as many volunteer standards that  
11 can be coordinated and agreed upon, it should be pursued.

12           Joel?

13           DR. ELDER: Elizabeth, based on that conversation  
14 or the information that we got from the medical community  
15 this afternoon, I wish to withdraw my comment about changing  
16 the title of that draft letter. That would be  
17 inappropriate.

18           [Laughter.]

19           MR. FLETCHER: Jane?

20           MS. EHRGOTT: I was just wondering. Do we have to  
21 vote on whether to release this letter or not, or you are  
22 just taking our suggestions?

23           MR. FLETCHER: I think in this case, we will just  
24 give guidance. We do not need to take a position.

25           DR. TUROCY: Bob Turocy again.

1           One thing I would like to say is that the industry  
2 that wishes to take a proactive step and word towards  
3 resolving any issues or coming to some conclusion deserves a  
4 pat on the back. So keep up the good work.

5           MR. SAVIC: From an industry member perspective,  
6 not so much as a suggestion for FDA or even a suggestion for  
7 the industry, let me just say that some of the  
8 representatives on the TEPRSSC over the years, myself  
9 included, and perhaps I might even speak for some of the  
10 others, have originally started, and some of us have started  
11 with the very first TEPRSSC committee.

12           And there is a great tendency to come before this  
13 body from a business concern of a manufacturer not wishing  
14 to either get his product appearing somewhat inferior in  
15 some of these issues than another manufacturer's product or  
16 someone's marketing department thinking that maybe they can  
17 get one step ahead of the competition.

18           In the end, after the first few exchanges, I think  
19 they all see that if there is a problem, it is an industry  
20 problem, ont a manufacturer-specific problem, and I would  
21 certainly encourage the industry to work together through ad  
22 hoc committees, industry associations. Perhaps there are  
23 competing industry associations. That is not a reason why  
24 they cannot form ad hoc groups to work out on the technical  
25 scientific issues and, in fact, maybe meet occasionally with

1 the Center staff and exchange and offer their information.

2           There is no advantage to one system over another,  
3 from my personal experience, no matter what the market share  
4 may have been at that time or no matter what the marketing  
5 people may have thought they could capitalize.

6           MR. FLETCHER: Anyone else?

7           DR. JACOBSON: Can I make just--

8           MR. FLETCHER: Yes, Dr. Jacobson.

9           DR. JACOBSON: I just wanted to say thank you to  
10 the committee. I know this has been a tough discussion, and  
11 we really appreciate the input you gave us.

12           You will be hearing more about the electromagnetic  
13 compatibility issues because this is a--sort of tackling  
14 compatibility issues is going to be sort of slow and steady  
15 work for the Center over a number of years. We had kind of  
16 a formal statement of that in the 1995 conference, but we  
17 are doing exactly what Mr. Savic said we should be doing,  
18 which is taking a look at the devices that we are  
19 responsible for, trying to prioritize those in terms of what  
20 might be affected by electromagnetic compatibility concerns,  
21 and making sure that we are raising the consciousness of the  
22 interested industries to deal with the problem.

23           And it has been--we are talking about this  
24 particular industry today, but the next hour or two, you are  
25 going to hear a whole different type of story, and I think

1 one that has different ramifications. It is really a  
2 success story, I think, but I would like to just underscore  
3 the fact that we would like the industries to take--to work  
4 together to solve this because it is--it presents tough  
5 engineering problems, and those things are really most  
6 amenable to cooperative efforts. And working on voluntary  
7 standards is one way to do that.

8 MR. FLETCHER: Thank you.

9 We will now go into our afternoon break, please.  
10 Be back by 3:05. I thank all presenters and all those who  
11 contributed to the discussion.

12 [Recess.]

13 MR. FLETCHER: This is the afternoon session.  
14 Please take your seats.

15 It is our last presentation. I do not think you  
16 can say too often thank you. So, for those committee  
17 members who are rotating off, once again, I extend to you my  
18 very heartfelt thanks, and the thanks, I am sure, of those  
19 within the Food and Drug Administration's CDRH whom you have  
20 assisted with your comments and whom I am sure will probably  
21 be in touch with you for other things over the next year.

22 I want to thank all of you, and just so I make  
23 sure that you do not get away before, everyone have a safe  
24 trip back to your destinations, and I look forward to seeing  
25 and hearing from you in the not-too-distant future. So,

1 once again, thank you to all of you.

2 Our next presenter is Don Witters, who will give  
3 us a presentation on medical telemetry systems.

4 **Medical Telemetry Systems**

\* 5 MR. WITTERS: Thank you, Mr. Chairman.

6 I will make this relatively brief. I know that  
7 you have been in your meetings for a day and a half now, and  
8 everybody is--2 days, okay. Everybody is really probably  
9 anxious to get out.

10 I am Don Witters, leading the Center for Device  
11 and Radiological Health, Electromagnetic Compatibility Work  
12 Group, that is addressing issues that Dr. Jacobson spoke of  
13 involving many different devices, wheelchairs, infusion  
14 pumps, hearing aids, cardiac de-fibrillator and pacemakers,  
15 spinal cord stimulators, and other things.

16 [Slide.]

17 My purpose here today is really to inform you  
18 about the concerns that we have with electromagnetic  
19 inference with medical telemetry and what we have been doing  
20 in conjunction not only in the Center with the device  
21 manufacturers, but also with the Federal Communication  
22 Commission and other parties like the American Hospital  
23 Association.

24 Basically, I would like to leave you with this  
25 thought, that electromagnetic interference--I will refer to

1 it as EMI--most people do--with medical telemetry system is  
2 a continuing challenge requiring communication and  
3 cooperation. That is really where we are driving, and we  
4 are certainly getting cooperation throughout this.

5 I would refer you to Tab O of the handout notebook  
6 that you have, which does have our public health advisory on  
7 the issue of digital television interfering with medical  
8 telemetry, wireless medical telemetry.

9 There are also some other information that I can  
10 make available, if you would like. We have a letter that we  
11 send out to manufacturers. I will mention that in a minute.  
12 We also have been involved with the American Hospital  
13 Association, American Society for Health Care Engineers, who  
14 prepared and performed a survey of over 5,000 hospitals to  
15 try to get more information on this, and understand what was  
16 going on.

17 Basically, wireless telemetry can be vulnerable to  
18 signals and interference from various sources and this needs  
19 to be addressed, we are working towards solutions with many  
20 different parties involved in this.

21 [Slide.]

22 What I am going to cover today very briefly, what  
23 is telemetry, I will give you a brief understanding of what  
24 it is and the fact that the environment is changing.

25 Dr. Jacobson mentioned about the environment

1 changing. This is dramatic as we go forward with  
2 technology. It is changing ever-rapidly.

3 How many of you have cell phones? How many of you  
4 have cell phones that are digital in transmission? A few  
5 years ago, there were not any digital cell phones. Now they  
6 are proliferating and probably will take over the market.

7 Have you ever heard of digital TV, sometimes  
8 referred to as HDTVC? This will take over, and according to  
9 the Federal Communication Commission, by 2006, the analog  
10 systems now on will be shut down, and this will take over.  
11 If you have ever seen it, it has dramatically improved.

12 They have it over at a local place, the Museum of  
13 Radio and Television News, the Newseum. It is dramatic.

14 There are real challenges for medical telemetry.  
15 What I am going to go over are two specific cases, very  
16 particular, that we have been working on.

17 One, that we already know there have been  
18 incidents involving EMI-2 telemetry from digital television  
19 broadcast. Two, the challenges, which are future, but we  
20 can see them on the horizon as the manufacturers and the  
21 Federal Communication can, in those telemetries operating in  
22 the mobile radio services bands. There are different bands  
23 where these can operate. Those are the two primary ones.

24 Also, I will discuss what we are doing to meet  
25 these challenges because there are a number of things that

1 are done and can be done in the long term, as well as the  
2 short term.

3 [Slide.]

4 Let me begin by giving you a real quick overview  
5 of wireless medical telemetry. It is essentially a system  
6 with the patient at one end, a wireless signal, radio signal  
7 sent to a central station being monitored and viewed by a  
8 nurse physician or whatever clinician is over there to  
9 monitor that patient.

10 You have in the case of cardiac monitors basically  
11 a little box that is connected to electrodes that the  
12 patient is monitoring cardiac respiration, other  
13 physiological measures being sent back to the central  
14 station.

15 This is sent on basically, primarily two different  
16 frequency bands, one being vacant television channel bands,  
17 the other one being the mobile radio service bands.

18 There was a survey that was performed very  
19 recently after the incident in March with digital TV by the  
20 American Hospital Association, American Society of Health  
21 Care Engineers, primarily by Dr. Joseph McLean, down at the  
22 Walter Reed Army Medical Center, who was here this morning,  
23 but unfortunately had to leave, of 6,000 or so hospitals  
24 throughout the country.

25 In that survey--I have some copies here, and I am



1 sure Joe would be willing to share them if you would  
2 like--it turns out that about 61 percent are operating in  
3 that mobile radio service band, and about 39 percent in the  
4 television bands. There are other bands.

5           However, one must understand with telemetry that  
6 the signal and the telemetry is viewed relatively  
7 unofficially by the licensing regulators, the FCC in  
8 particular, and is resigned to what is called secondary  
9 unlicensed user.

10           These users have to accept interference, but  
11 cannot cause it to the primary licensed user, and therein  
12 lies part of the concern. We think that in general, medical  
13 telemetry is a very critical type of use of the airwaves and  
14 needs to be raised to a higher level than that.

15           [Slide.]

16           To give you an idea of where we are talking about,  
17 this is the radio frequency spectrum, just very quickly, a  
18 few things here. The television bands are in this area, in  
19 the megahertz region. The mobile radio service would be  
20 just below the UHF channel, and to give you an idea that the  
21 spectrum is crowded and will increasingly become more  
22 crowded, you have FM radios, cellular telephones, emergency  
23 broadcasts, fire, police, that sort of thing, AM radios,  
24 microwave ovens, radars. There is an increasing use of the  
25 spectrum.

1 [Slide.]

2 I am going to talk first about the digital TV and  
3 what has occurred there because this is an actual  
4 occurrence. This is not an academic exercise for us.

5 In Dallas, Texas, in March, the digital television  
6 began to broadcast on a previously vacant channel. Medical  
7 telemetry has been allowed by FCC to be utilized--vacant  
8 channels in their geographical area, and to date, that has  
9 worked relatively well because vacant channels exist  
10 throughout the country.

11 Now, with the advent of digital television, they  
12 are using these previously vacant TV channels. The  
13 broadcasters in this case--and nobody is pointing  
14 fingers--were doing what they were told they could do, which  
15 is broadcast in these channels that the FCC allowed them to  
16 do.

17 The clinicians were using that unused channel that  
18 they have used for many years, according to what the FCC  
19 said. There was a little bit of a miscommunication.

20 Unfortunately, in the case of this incident, it  
21 did overwhelm at least 50 beds of telemetry, perhaps even  
22 more, at Baylor Medical Center and Methodist Hospital down  
23 in Dallas, Texas, when this digital signal became active,  
24 and it was only a test. This was a low-power test that they  
25 are allowed to do.

1           They were not aware that there was medical  
2 telemetry operating in this channel that was previously  
3 unused.

4           The medical telemetry and clinicians were not  
5 aware that this test was going to happen, and consequently,  
6 when this did happen, the patients were left where they  
7 needed to have some sort of telemetry, but this took down  
8 those people systems. They did not take them all down  
9 because there are different channels in different places,  
10 but a number of these, it did take down.

11           It overwhelmed--the wireless system was no longer  
12 functioning for those particular patients. The first  
13 priority is obviously to the patients. They got them back  
14 on wired systems.

15           Secondly, because they are experienced and very  
16 knowledgeable at Baylor--we had spoke with these gentlemen  
17 several times--they were able to track this down and find  
18 out there is a problem. Somebody is using this channel to  
19 broadcast where it had been vacant for years.

20           They called the television station and asked them  
21 if they were, indeed, broadcasting, and they were, and asked  
22 them if they could cease, and they did.

23           The hospitals were left in a predicament. They  
24 needed that telemetry, but they also knew that that channel  
25 was no longer available, and they had to do something.

1           There are two things that really they did. First,  
2 at Baylor, where they spotted this, they were already in the  
3 process of purchasing new equipment that would work  
4 elsewhere in frequency. That would speed it along in  
5 working with the manufacturer who recognized the need,  
6 immediate need. It was not cheap. It was over \$200,000 for  
7 that system.

8           Secondly, at the other hospital, they re-  
9 crystallized. You may be familiar with the crystal tuning  
10 that is in the scanners, for example. It is the same sort  
11 of thing. Some of the older technologies, you actually have  
12 to physically change these crystals in order to change where  
13 they are operating at. That was done. That was, again, not  
14 exactly cheap, close to \$30,000.

15           We think this really at the moment, because of  
16 what has been done and the fact that it was recognized and  
17 dealt with, which I will speak to in a second, as really a  
18 success, as Dr. Jacobson said, and probably has solved this  
19 at least for the moment by coordinating and communicating.

20           When I speak about that, I mean the public health  
21 advisory that you have. That is what we did to advise the  
22 hospitals and the clinicians about this. The manufacturers,  
23 of course, were aware of this.

24           There was also a letter sent to the manufacturers  
25 asking them and making recommendations that they include

1 more information about telemetry, where it operates, because  
2 part of the concern that we had is the hospitals might not  
3 necessarily have that information with them. The  
4 manufacturers in many cases set this up, and then they are  
5 responsible for maintaining it. In some cases, third  
6 parties maintain it.

7           We also were in direct contact in coordinating  
8 efforts with the FCC on this. The FCC immediately  
9 recognized the potential for problems and contacted the  
10 broadcasters, the National Association of Broadcasters, who  
11 very quickly got out the word to broadcasters that they  
12 needed to contact the users of telemetry in this area, in  
13 their areas, and they also provided public information which  
14 we in some cases helped them develop, which is on their web  
15 site. If you would like to look at it, I do have their web  
16 site information right here. It talks specifically to this  
17 issue.

18           They also had to provide some information about  
19 where these digital television broadcasts would be in local  
20 areas, which channels would then be used in the next few  
21 months and the next few years. That is located there, too.

22           One of the other things that FCC has done to  
23 address this is make this issue very important in licensing  
24 to the broadcasters.

25           Now the broadcasters are required to notify the

1 local hospitals and health care facilities about this  
2 information and make a very good effort to contact the local  
3 hospitals that might be using this, so that they have some  
4 real way of getting that information out and making the  
5 message heard.

6           So that is what has been going on with digital  
7 television. It may not go away because--I will speak to  
8 that in a minute, but in general, just because you know  
9 about the digital television broadcast or other television  
10 broadcast, broadcasters are coming online all the time, and  
11 new technology is always coming into focus.

12           [Slide.]

13           One of the other areas is the mobile radio service  
14 telemetry. This is a different band that these are working  
15 on. It is actually 450 to 470 megahertz, under the UHF TV  
16 band.

17           The FCC had proposed some changes. Digital  
18 technology is here. It allows you to do more with less  
19 spectrum. So you, therefore, could have more users.

20           Your typical digital cellular telephone, for  
21 example, you may have six or eight conversations on a single  
22 frequency because they are able to multiplex this together,  
23 as opposed to the old analog system where you had one  
24 conversation, one frequency. As you can see, that lets you  
25 use more frequency, much more efficiently.

1           The FCC does that. That is one of their primary  
2 goals, and, of course, technology has changed so that many  
3 other users can now use this technology.

4           As I mentioned, we found out after the digital  
5 television that 61 percent of the wireless telemetry is in  
6 this area. However, once it was clear that there was a  
7 concern with telemetry, the FCC put on hold their proposal  
8 for changing this band, specifically because of the medical  
9 telemetry concerns, and it is on hold now.

10           I spoke to the FCC gentleman in the wireless  
11 bureau last night about that, and it is on hold until we get  
12 some handle on this.

13           These efforts are being coordinated right now with  
14 FCC. We are also working with the American Hospital  
15 Association, AHA, who have stepped forward and said we need  
16 to get a handle on this, we need to really step forward and  
17 take charge, and they are doing that.

18           They just met last week with parties involved,  
19 manufacturers, users, the FCC, the National Association of  
20 Broadcasters, to really come up with some way that they  
21 could propose to get us from where we are now, where there  
22 is concern, to where there might be a separate spectrum for  
23 the medical telemetry, raising them to a higher level where  
24 they would not be interfered with. And hopefully, the  
25 problem would go away in that sense, but it will take a good

1 bit of effort to get there.

2 [Slide.]

3 There are continuing challenges with wireless  
4 telemetry. As I said, the environment is changing. Digital  
5 television, digital radio broadcast, digital cellular phones  
6 are coming. There is increased competition for spectrum.  
7 Everybody wants a part of it, and they are selling this, if  
8 you haven't seen, on auction and some of the money they are  
9 raising are very large numbers. Congress has mandated that,  
10 only in certain sections.

11 We believe that there will also be at the same  
12 time an increasing use of telemetry in not only things like  
13 cardiac monitoring, but many other areas, in such an array  
14 that this probably will increase to a place where patients  
15 may even be going home with telemetry so that they are  
16 monitored continuously.

17 Our concern and the concerns of the Hospital  
18 Association and clinicians is that this be clear of  
19 interference, even small amounts or short-duration  
20 interference, if it is in a critical time or critical  
21 patient, can be very serious concerns.

22 [Slide.]

23 So what is being done to meet these challenges?  
24 In the short time, it really boils down to communication and  
25 cooperation because it does take time to decide where to put



1 the medical telemetry, and it takes time to design and build  
2 and then sell and then get into any significant portion  
3 those kinds of devices.

4           Also, the industry is involved. The wireless  
5 industries, mobile radio and the people who use it, are  
6 really again not in communication in general with the  
7 medical device industry. We need to get that crossed, and  
8 the AHA has certainly promoted that.

9           Regulators such as FCC and FDA play a part in this  
10 obviously, and there are ways that I will speak to in a  
11 minute about how we might be able to make that a little bit  
12 better.

13           Information about this like the public health  
14 advisory certainly get this out, certainly make it available  
15 to the hospitals, the doctors, the patients, the  
16 manufacturers, so that people know about this, and believe  
17 me, this was very fast. Within a few weeks, these notices  
18 were out. FCC had the broadcasters listening, and it was  
19 heard very loudly and very clearly.

20           In the long term, we are still looking at a number  
21 of things. Separate frequency, raising telemetry to its own  
22 channel, its own standing, will go a long way, we believe,  
23 and it is time to come to do that, so that all manufacturers  
24 and users know that if you operate in those bands, that is  
25 telemetry and nothing else.

1           Regulation options include the quality systems  
2 regulations for continued improvement. The state-of-the-art  
3 is being pushed. There is a lot of things that can be done  
4 to optimize the technology.

5           Again, most of these telemetry systems have been  
6 around for many years. They are using older technologies  
7 that require wider bandwidths, more signal in a larger  
8 space. That needs to be improved, optimized. Perhaps  
9 digital technologies like the cell phone or other  
10 technologies that transmit information from one place to  
11 another needs to be improved.

12           And also risk assessments probably play a part in  
13 this by the manufacturers, by the clinicians, what are  
14 really the risks and seriously approach those in a way that  
15 will help solve this.

16           [Slide.]

17           Now, I mentioned the FCC web site. So I am going  
18 to put a plug in for ours, of course. We have a web site  
19 under the Center web site where we have information on  
20 electromagnetic compatibility. On this web site, we also  
21 have links to the public health advisory and other sources  
22 of information, especially about wireless electromagnetic  
23 compatibility.

24           [Slide.]

25           I would like to finish by leaving you with the

1 thought that I mentioned at the beginning. I think, and we  
2 think, this issue will continue to challenge wireless  
3 telemetry well into the future, but there are ways we have  
4 been pursuing. We think these will be effective  
5 communication information, improvements, and optimization,  
6 separate frequencies, working together really towards a  
7 common goal of minimizing the risk to the patient from this  
8 particular issue.

9 Thank you.

10 MR. FLETCHER: Thank you.

11 I am going to take off my chairman's hat for a  
12 minute.

13 Have law enforcement agencies also been brought  
14 into the discussion? Because there are devices that they  
15 use for home detention that work off wireless system.

16 MR. WITTERS: That particular aspect, we have not  
17 explored, but there are those. They are probably in  
18 different bands. They could be secondary users. I just  
19 cannot speak to that, really.

20 But I can tell you that in terms of the emergency  
21 vehicle transmission, they have their own channels. Medical  
22 telemetry, for whatever reason, does not at this time, but  
23 we think--and FCC appears to agree--that their time has  
24 come, and they are looking at ways to give them spectrum.

25 Yes.

1 MR. FLETCHER: Dr. Cardella?

2 DR. CARDELLA: I have two questions. Does the FCC  
3 have jurisdiction over secondary users? In other words, do  
4 they know about telemetry in a given unit occupying a  
5 certain portion of the radio frequency spectrum?

6 MR. WITTERS: Well, what you are asking is a  
7 couple of different things.

8 First off, they are well aware of the  
9 manufacturers of telemetry, and although I did not mention  
10 it, I meant to--they did send a letter out to medical  
11 telemetry manufacturers after the digital TV issue and did  
12 directly ask them to make some effort to address this.

13 There is coordination with the FCC on this issue.  
14 The FCC does not have jurisdiction over the entire spectrum.  
15 There is another Government agency that does deal with  
16 things such as users, such as the military and other  
17 Government-protected bands, but it is increasingly  
18 competitive in the bands that FCC has. They have tremendous  
19 pressures on them and tremendous things put on by various  
20 parties.

21 DR. CARDELLA: And my second question was the  
22 establishment of a digital TV station that is new in an  
23 area, how big of an area does their antenna black out or  
24 invalidate for telemetry use? What is their range?

25 MR. WITTERS: It depends on the power.

1           The digital TV signals will be approximately the  
2 same power and area of the analog televisions.

3           Now, you can have up to a megawatt, about a  
4 million watts, and go out 50 or more miles, maybe 100, and  
5 depending on the atmospheric, even more than that. So  
6 there are areas, wide areas, particularly in urban areas  
7 where it is more crowded, and this may be more acute.

8           Part of what we have talked with the American  
9 Hospital Association is to come up with a better handle so  
10 that FCC understands from the medical telemetry end what the  
11 requirements are, and part of what they have sent to the FCC  
12 in answer to that general inquiry, what are your needs,  
13 included such things as how many channels do you think you  
14 need.

15           Some of the clinical engineers that were in these  
16 meetings and we talked to indicated that they are now using  
17 as many as 20 leads right now on some patients, typically a  
18 lot less, but 20, and the range that they are using can go  
19 anywhere from bedside to down the hall or 200 yards or so,  
20 but in the future, this may change. It may go miles.

21           Obviously, you want to protect that if you can,  
22 and there are ways to do that.

23           MR. WILSON: Dennis Wilson.

24           I guess my question would be, if by 2006, which is  
25 essentially about 7 years from now, they will have

1 completely taken over the television business, can't we move  
2 fast enough on the telemetry side to respond to that?

3 MR. WITTERS: Well, in the telemetry, as I said,  
4 this really is more of a success. We already know, and the  
5 FCC has made it clear, where the new channels will be. That  
6 is on their web site.

7 So a user, a clinical or hospital facility, can  
8 certainly look that up and say am I using telemetry in that  
9 area right now and make a change. They have time for the  
10 most part.

11 Also, from the other end, the broadcasters have to  
12 make a good-faith effort, and many of them are making a very  
13 good-faith effort to contact local hospitals, clinical  
14 facilities, even nursing homes that might be using this, to  
15 make them aware that we are going on broadcast on this  
16 channel, it was previously not use, if you have anything in  
17 there, you need to make arrangements to move it to a  
18 different frequency.

19 MR. SAVIC: Stan Savic.

20 I just can't help but make a few comments as the  
21 representative who works for a company that is not involved  
22 in manufacturer or broadcast of digital TV signals, but  
23 basically the company that got an Emmy Award for investing  
24 digital TV systems, meaning getting the most patents out of  
25 it, and the company that had the first digital TV

1 transmission from Milwaukee to Chicago successfully  
2 demonstrated to all the FCC officials and so on.

3           You are all familiar with the TV channel numbers,  
4 2, 3, 4, 5, and then 56, 57, 58, 59, and yet, in every area  
5 where you receive your channels over the air, you only have  
6 channels and then some blank channels. So you go like from  
7 2 to 5 to 7 to 9 and 11 and so on.

8           The channels that are not used in your area are  
9 what FCC calls taboo channels, and the reason for making a  
10 taboo out of using them is because they will interfere with  
11 each other and your picture will look lousy.

12           Digital TV has eliminated the need for blanking  
13 out taboo channels because with digital signals, you do not  
14 have to worry about interference. There are algorithms that  
15 decipher the picture.

16           So, in effect, digital TV has made possible use of  
17 every single channel number. Now, over the years, in cities  
18 where these stations have been well established, it was  
19 clear to users of other services that maybe they could do  
20 something on that frequency, as long as there is on TV  
21 broadcast there. So that is how some of these systems  
22 involve, and yes, every manufacturer of telemetry devices  
23 would have to file with FCC at some point in time, tell them  
24 that they are going to broadcast the signal on that  
25 frequency.

1           So FCC does know about it, but that has been going  
2 on over such a long period of time that I think, for all  
3 practical purposes, we have to assume that they do not know  
4 who is on what frequency where. So certainly the approach  
5 that the broadcasters would take, either through public  
6 notices or just scanning and contacting all hospitals, would  
7 be a good one.

8           In the process of implementing this new digital  
9 TV, high-definition TV, FCC is taking all channels, I  
10 believe, from Channel 54 and up and reassigning them, or  
11 making them available for reassignment for other uses which  
12 means that Channels 54 through 83 are basically to be given  
13 back to probably for some use.

14           MR. WITTERS: It is actually Channels 60 through  
15 69 that will open up, and they had been told by Congress  
16 that four of those TV channels must go to public service  
17 type of uses.

18           MR. SAVIC: I was just going to suggest that you  
19 should certainly lobby for your--

20           MR. WITTERS: Part of what I did not have a  
21 chance--but I did not have all the time to do all the  
22 details, but we certainly have. We certainly have made the  
23 case that maybe that should be a good use for this.

24           You are absolutely right. Digital TV is not the  
25 bad guy. It is simply a new technology that allows more use.



1 of the same spectrum.

2 Right now analog does have to be apart by one  
3 channel. Digital will allow it to be put in next to each  
4 other. High-definition is definitely the way of the future,  
5 and it will open because it will basically move down most of  
6 the channels into lower and lower channel numbers, so that  
7 some of these channels will be open.

8 There are some channels that are definitely taboo.  
9 One channel, in particular, because it is close to  
10 radioastronomy, is a potential because radioastronomy has to  
11 have this particular part of the spectrum. They kept it  
12 open, but we think that maybe that is a candidate because  
13 typically medical telemetry is a lower power type of system  
14 that probably would not interfere with something in Puerto  
15 Rico or in Arizona or in some of those bigger, more  
16 sensitive systems.

17 So there are ways that we have been working in  
18 alternatives to address that, and FCC has made it clear,  
19 just the other day, that they were very willing to work with  
20 us and find solutions for this.

21 DR. ELDER: When I first heard about the incident  
22 in Texas, I was a little bit concerned about it, and I guess  
23 my level of concern rose when I heard it was just a test of  
24 the digital TV signal.

25 I think FDA is very right to consider this a

1 success story, and I would just like to compliment them on a  
2 timely and appropriate response with this public health  
3 advisory on that issue.

4 MR. WITTERS: It took a lot of work and a lot of  
5 coordination with FCC. We made them aware of this. We  
6 allowed them to help us evolve this. Plus, we were helping  
7 them, and it was something that we both saw needed to be  
8 done very quickly and it was a lot of work on a lot of  
9 part--both of our agencies.

10 DR. LIPOTI: Separating for a moment the medium  
11 from the message, I think you did a good job with the  
12 medium, and that you are dealing--you acted as a convener.  
13 You brought together all of the interested parties and they  
14 are working out a solution in a cooperative manner, and that  
15 is great, but the message is the problem.

16 You see, medical telemetry is monitoring  
17 somebody's biological function, and TV is TV. I have a  
18 4-year-old and an 11-year-old, and I know about TV. And it  
19 seems to me that the effort that you had placed on raising  
20 the medical telemetry to being a primary user rather than a  
21 secondary user is where you really need to place your effort  
22 because it is essential that somebody be monitored, but it  
23 is not so essential that we watch Scooby Doo.

24 MR. WITTERS: Well, the broadcasters would perhaps  
25 disagree.

1           That is absolutely correct. For a long time, we  
2 have been concerned with this. Dr. Jacobson sent a letter 2  
3 or 3 years ago to the FCC asking them to consider this. So  
4 we have been working quite a while, and they are right now,  
5 in fact, looking at a candidate broadcast bands, frequencies  
6 to put this in. They have agreed that we, between us, or  
7 anybody else do not want to see patients harmed or, in the  
8 worst case, somebody die because of that sort of thing. No.

9           DR. LIPOTI: Just as a follow-up, I want to  
10 comment on the equity issue. It cost Baylor College  
11 Hospital \$200,000 to revamp their system.

12           MR. WITTERS: A bit more than that, yes.

13           DR. LIPOTI: \$30,000 for them to re-crystal.

14           MR. WITTERS: The other hospital, yes.

15           DR. LIPOTI: And they are bearing the cost of this  
16 problem. Whereas, the folks doing digital TV are making a  
17 lot of money off of these commercials.

18           So, to the extent that they could subsidize the  
19 transfer in the benefit of public safety, I think it would  
20 be a benefit for all of us.

21           MR. WITTERS: I cannot speak to the cost issue.  
22 That is a real tricky business. There is a lot of very  
23 formidable-type people on both sides of that, but I think  
24 the key to recognizing and keep our eyes on is the fact that  
25 the Federal Communication and the broadcasters are coming to

1 the table and offering real solutions that look very  
2 workable to us, and the manufacturers of medical telemetry  
3 and we are coming there and saying yes, we need to get  
4 there, and this is how we can do it.

5 MR. FLETCHER: I notice that there is someone from  
6 the audience who wishes to address the panel. Procedurally,  
7 we will continue panel discussions until such time as those  
8 are exhausted. I would ask, however, that if you are  
9 representing the industry, please identify yourself at the  
10 time you come to the mike.

11 MR. WITTERS: This is Mr. Julius Knapp, who we  
12 have been working with from the FCC.

13 MR. SAVIC: For Jill's benefit, mostly let me just  
14 say that digital TV is not just TV, and it is not about TV.  
15 Digital TV brings into an ability to use a huge part of the  
16 spectrum which could not be used at the present time. So  
17 that is the biggest advantage, and then in doing so, there  
18 are all kinds of new services contemplated. So it is not  
19 just picture entertainment broadcast.

20 You will see TV broadcasters competing with  
21 telephone companies and vice versa and so on. It is a whole  
22 new level of technology, and it--for example, one channel  
23 can broadcast six channels of digital television. So you  
24 could have six movies that you do not want to watch. That  
25 is the advantage of the change from going to digital from

1 analog. So you will be swamped, I am sure, with channels,  
2 but it is a lot more than just television picture.

3 MR. KNAPP: Thank you. Good afternoon. My name  
4 is Julius Knapp. I am with the Federal Communications  
5 Commission, the Office of Engineering and Technology.

6 I was tempted to intervene sooner with some of the  
7 questions, but Don and everyone else was doing such a great  
8 job answering them that I was just thrilled because I think  
9 they have been far more attentive to what has been going on  
10 at the FCC. If you were to ask me about the FDA  
11 regulations, I would not do as well.

12 Just a couple of comments that I wanted to pass  
13 along. First of all, the FCC does take very seriously any  
14 risk of interference to medical telemetry devices. There  
15 are a few flavors of that, as you probably know. Some of  
16 the medical telemetry devices have been operating in TV  
17 spectrum.

18 When the problem came to our attention, we worked  
19 closely together. It looks like through coordination, the  
20 interference can be avoided.

21 The Commission has advised all of the broadcasters  
22 that as a condition of their licenses, they have to  
23 coordinate with their local health care communities. So we  
24 are trying to take every possible step that we can to make  
25 sure that digital television's implementation does not cause

1 interference to medical telemetry devices.

2           Some of the devices are operating in the spectrum  
3 that is used by land mobile equipment, the business radio  
4 services, taxi, fire, police, and so forth. That is  
5 spectrum that because of the growth in the radio  
6 communications field has become very congested, and the  
7 Commission has tried to accommodate more channels by  
8 basically dividing the existing ones.

9           Now, this creates a potential for interference for  
10 the medical telemetry devices that are in that spectrum. We  
11 have frozen any assignments under the new plan, and we are  
12 trying to work on a solution where we can still allow the  
13 new land mobile stations to go forward, at least in parts of  
14 the spectrum, either through coordination or avoidance of  
15 the channels that are being used by medical telemetry.

16           It is a complicated problem, but we won't go  
17 forward until we are sure that everybody has been consulted  
18 and that we are confident that this can work and we will not  
19 cause interference to the medical telemetry devices.

20           Lastly, the Commission recognizes that there is a  
21 need for a long-term spectrum home for medical telemetry  
22 devices, and we want to move forward as quickly as we can.

23           There was, I thought, a very constructive meeting  
24 last week with all sectors of the industry looking at new  
25 spectrum for medical telemetry devices. From the

1 Commission's point of view, we would like to have that moved  
2 forward as quickly as possible, and we are very committed to  
3 doing that.

4           So I just wanted to make a few comments to let you  
5 know that the Commission does take this all very seriously.  
6 We are devoting efforts on our side to make sure that  
7 interference does not occur and that there are long-term  
8 solutions.

9           Thank you.

10           MR. FLETCHER: Thank you.

11           Are there any further comments or questions from  
12 the committee?

13           [No response.]

14           MR. FLETCHER: Once again, I want to thank all the  
15 members of the committee. I want to thank Dr. Suleiman, Dr.  
16 Kaczmarek, Dr. Jacobson, and all the members of the FDA  
17 staff, and all of those presenters who, one, brought us a  
18 great deal of information to consider and talk about and  
19 give recommendations on, and, two, adhere very well to the  
20 time table that was very tight.

21           I appreciate the opportunity to have chaired this  
22 meeting, and I wish God's speed to all of those who have to  
23 travel. May you reach your destinations safely. I am sure  
24 we will be communicating in the future.

25           I do not know if we have any indication as to

1 where. So I will ask Dr. Suleiman if he wants to say  
2 anything.

3 DR. SULEIMAN: I have enjoyed staying next to  
4 Roland and saying nothing. I think he has done a real good  
5 job, and we got through what was an extremely difficult  
6 agenda. I want to thank Roland again especially.

7 I think the FDA staff who participated during both  
8 days did a really good job from my opinion, and I think the  
9 advisory committee members all contributed, I think, in a  
10 veyr important and diverse way.

11 So I want to really make sure you understand how  
12 much I appreciate it and I think the agency appreciates it,  
13 and I think we are even ahead of schedule.

14 MR. FLETCHER: So, without further ado, I am going  
15 to use my crab mallet and adjourn this meeting.

16 [Applause.]


17 [Whereupon, at 3:45 p.m., the meeting was  
18 adjourned.]

19



## *C E R T I F I C A T E*

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in black ink and is positioned above a horizontal line.

**ALICE TOIGO**